

MEETING
STATE OF CALIFORNIA
ENVIRONMENTAL PROTECTION AGENCY
AIR RESOURCES BOARD
SCIENTIFIC REVIEW PANEL
ON TOXIC AIR CONTAMINANTS

CALIFORNIA ENVIRONMENTAL PROTECTION AGENCY
SIERRA HEARING ROOM, 2ND FLOOR
1001 I STREET
SACRAMENTO, CALIFORNIA

FRIDAY, OCTOBER 4, 2019
9:32 A.M.

JAMES F. PETERS, CSR
CERTIFIED SHORTHAND REPORTER
LICENSE NUMBER 10063

A P P E A R A N C E S

PANEL MEMBERS:

Cort Anastasio, Ph.D., Chairperson

Ahmad Besaratinia, Ph.D.

Paul D. Blanc, M.D.

Stanton A. Glantz, Ph.D.

S. Katharine Hammond, Ph.D.

Michael T. Kleinman, Ph.D.

Joseph R. Landolph, Jr., Ph.D.

Lisa A. Miller, Ph.D.

Beate R. Ritz, M.D., Ph.D., M.P.H.

REPRESENTING THE AIR RESOURCES BOARD:

Jim Behrmann, Panel Liaison

Dave Edwards, Ph.D., Assistant Chief, Air Quality Planning
& Science Division

Gabe Ruiz, Manager, Toxics Inventory and Special Projects
Section, Air Quality Planning & Science Division

Beth Schwehr, Staff Air Pollution Specialist, Air Quality
Planning & Science Division

REPRESENTING THE OFFICE OF ENVIRONMENTAL HEALTH HAZARD
ASSESSMENT:

John Budroe, Ph.D., Chief, Air Toxicology and Risk
Assessment Section

Daryn Dodge, Ph.D., Staff Toxicologist, Air Toxicology and
Risk Assessment Section

I N D E X

PAGE

1. Welcome and Introductions 1

2. Review of "Cobalt and Cobalt Compounds - Cancer Inhalation Unit Risk Factors - Technical Support Document for Cancer Potency Factors - Appendix B" - Scientific Review Panel Draft - September 2019

Office of Environmental Health Hazard Assessment (OEHHA) staff will present a draft document summarizing the carcinogenicity and derivation of proposed cancer inhalation unit risk factors for cobalt and cobalt compounds. Cancer unit risk factors are used to estimate lifetime cancer risks associated with inhalation exposure to a carcinogen.

OEHHA is required to develop guidelines for conducting health risk assessments under the Air Toxics Hot Spots Program (Health and Safety Code Section 44360 (b)(2)). The proposed cobalt and cobalt compound unit risk factors in this report were developed using the most recent "Air Toxics Hot Spots Program Technical Support Document for Cancer Potency Factors," finalized by OEHHA in 2009.

3

3. Review of draft proposed updates to the chemical substances list in Appendix A of the AB 2588 Air Toxics "Hot Spots" Emission Inventory Criteria and Guidelines regulation.

The California Air Resources Board compiles air toxics emissions data for stationary sources as required by the Air Toxics "Hot Spots" Act (Health and Safety Code section 44300 et seq.; AB2588, Connelly). Under this program, stationary source facilities are required to report the types and quantities of toxic substances they routinely release into the air. The goals of this program are to identify facilities having potential for localized impacts; evaluate their health risks; notify nearby residents about significant risks; and ultimately reduce the risks below a health protective threshold.

I N D E X C O N T I N U E D

PAGE

The Toxics "Hot Spots" Emission Inventory Criteria and Guidelines (EICG) regulation was last updated in 2007. In the June 28th meeting the Panel received an informational presentation on the program, a summary of the amendments being considered, and the process and timeline. In this meeting, CARB staff will present draft proposed changes to the chemical substances list in Appendix A of the EICG regulation. The proposed changes to the chemical list being reviewed will be posted on the CARB "Hot Spots" Toxics Inventory web page	93
4. Consideration of administrative matters.	
The Panel may discuss various administrative matters and scheduling of future meetings.	151
Adjournment	158
Reporter's Certificate	159

P R O C E E D I N G S

1
2 CHAIRPERSON ANASTASIO: Okay. Good morning,
3 everyone. I'd like to call the meeting of the Scientific
4 Review Panel to order. Welcome to everyone on the
5 webcast. Welcome to everyone here in person. Let's just
6 go around the room and state your name and affiliation.
7 I'm Cort Anastasio from UC Davis, and Chair of the Panel.

8 PANEL MEMBER RITZ: Beate Ritz, Epidemiology from
9 UCLA.

10 PANEL MEMBER BLANC: Paul Blanc, Occupational and
11 Environmental Medicine, UCSF.

12 PANEL MEMBER KLEINMAN: Mike Kleinman, Air
13 Pollution Health Effects Lab at UC Irvine.

14 PANEL MEMBER MILLER: Lisa Miller, UC Davis,
15 School of Veterinary Medicine.

16 PANEL MEMBER LANDOLPH: Joe Landolph, Departments
17 of Molecular Microbiology and Immunology and Pathology and
18 member of the USC Norris Comprehensive Cancer Center. I
19 work in the molecular mechanisms of nickel, arsenic,
20 chromium, and PAH carcinogenesis at the University of
21 Southern California.

22 PANEL MEMBER GLANTZ: Stan Glantz, UC San
23 Francisco. I run the Tobacco Center. And I'm in the
24 biostatistics seat.

25 PANEL MEMBER HAMMOND: Kathy Hammond, University

1 of California, Berkeley, Environmental Health Sciences,
2 School of Public Health.

3 PANEL MEMBER BESARATINIA: Good morning. I'm
4 Ahmad Besaratinia from Preventive Medicine Department,
5 University of Southern California, and I'm a cancer
6 biologist.

7 CHAIRPERSON ANASTASIO: Great. Thank you,
8 everyone. A few administrative items. Restrooms,
9 drinking fountains are out the doors to your left. If
10 there's a fire alarm, please exit down the stairs and
11 proceed out the building. We have a very clean record on
12 fire alarms, so let's hope we keep that up today.

13 (Laughter.)

14 CHAIRPERSON ANASTASIO: Two major items on the
15 agenda today for this meeting. The first will be a review
16 of the proposed cancer inhalation unit risk factors for
17 cobalt and cobalt compounds. And the second is a review
18 of the proposed updates to the chemical list in appendix A
19 of AB 2588 air toxics hot spots emissions inventory
20 criteria and guidelines regulations. So we'll do the
21 cobalt before lunch, have a break for lunch in-house, and
22 then we'll do the AB 2588 after lunch.

23 Which brings us -- oh, wait, sorry. One
24 reminder, please when you're speaking, turn on your mic,
25 get your face close to the microphone for our favorite

1 court reporter, Jim, will be making the transcript. And
2 also people on the webcast it's difficult to hear if
3 you're not speaking directly into your microphone.

4 Okay. Which brings us to major agenda item 1,
5 our review of the proposed cancer inhalation unit risk
6 factors for cobalt and cobalt compounds. So this document
7 was from the Office of Environmental Health Hazard
8 Assessment, which went through public review and comment
9 during 2018. The document was revised and the Scientific
10 Review Panel draft was sent to us to review. It was also
11 posted on OEHHA's webpage for the public.

12 Today, we're going to hear a presentation first
13 from OEHHA staff on the proposed cancer inhalation unit
14 risk factors for cobalt and cobalt compounds. Then we'll
15 have our Panel discussion and feedback we'd like to give
16 to OEHHA staff. So I'm going to turn it over to John
17 Budroe from OEHHA.

18 Take it away, John.

19 DR. BUDROE: Good morning. My name is Dr. John
20 Budroe. I'm Chief of the Air Toxicology and Risk
21 Assessment Section at OEHHA. I'd like to introduce Dr.
22 Daryn Dodge. Dr. Dodge is the lead author on the cobalt
23 cancer document and he'll be making the presentation today
24 on both the document itself and response to public
25 comments.

1 Dr. Dodge.

2 (Thereupon an overhead presentation was
3 presented as follows.)

4 DR. DODGE: Thank you, Dr. Budroe.

5 Okay. I'm moving onto slide 1 here.

6 --o0o--

7 DR. DODGE: Co -- elemental cobalt is number 27
8 on the periodic table. It's one of a number of transition
9 metals. Transition metals, many of them, can generate
10 reactive oxygen species in biological systems. And this
11 is thought to be a major, if not the main, factor for its
12 carcinogenic action.

13 Uses. There is a number of uses for cobalt. I
14 list a few of them here. One of the major ones is cobalt
15 meta powder is used as a alloying component in hard metal.
16 Cobalt oxides and salts are used as pigments in glass and
17 ceramics. And it's found as a component in lithium in
18 nickel-based rechargeable batteries.

19 --o0o--

20 DR. DODGE: And slide number 2, ambient air
21 levels of cobalt. They're pretty low. Rural and
22 wilderness areas of California you see levels of 0.0005 to
23 0.005 nanograms per cubic meter. However, levels in urban
24 areas are relatively higher than that. In Southern
25 California, mean levels you can find 1.3 to almost 9

1 nanograms per cubic meter with maximum levels. Highest
2 levels measured is about 3 to 5.6 nanograms per cubic
3 meter.

4 Now, I want to add here that under our Hot Spots
5 Program, we're looking to avoid emissions from facilities
6 that are blown offsite and into neighborhoods -- adjacent
7 neighborhoods or where people work. So if a facility is
8 emitting cobalt or any other pollutants, the
9 concentrations at these neighborhoods immediately off the
10 facility offsite location, the concentrations could be
11 higher than what you're seeing here in this example for
12 Southern California.

13 --o0o--

14 PANEL MEMBER HAMMOND: Just as a question -- a
15 clarification there. So what you're saying is those do
16 not represent measurements around hot spots.

17 DR. DODGE: Yes, that's correct. Slide number 3,
18 the bioaccessibility of the cobalt ion is considered in --
19 an important factor for carcinogenicity. The inhaled
20 cobalt compound particles that are water soluble -- and
21 for our purposes, for OEHHA's purposes, we're talking
22 about greater than 100 milligrams per liter, will dissolve
23 in the alveolar lining fluid and release cobalt ion there.

24 However, something different is going on with
25 insoluble cobalt compounds, which we define as less than

1 or equal to 100 milligrams per liter. These water
2 insoluble cobalt compounds will be inhaled and reach
3 distal airways, alveoli, and be uptaken by pulmonary cells
4 by endocytosis. They then dissolve intracellularly in the
5 acidic environment of lysosomes. This process for
6 insoluble cobalt compounds appears to be the reason why
7 insoluble cobalt compounds have a greater cancer potency,
8 which I'll get to later, that is compared to the soluble
9 cobalt compounds.

10 --o0o--

11 DR. DODGE: The next slide is number 4. This is
12 just a list of a few of the commercially important cobalt
13 compounds and their water Solubility. In the first row
14 it's -- I show cobalt metal particles with a water
15 solubility of 2.9 milligrams per liter. So that's well
16 under our definition of water solubility, 100 milligrams
17 per liter. Anything under that is considered insoluble.

18 The next two compounds are the sulfate and the
19 chloride. And those -- that water solubility is
20 considerably greater than 100 milligrams per liter. And
21 the last two are the cobalt oxides and those are well
22 under 100 milligrams per liter. In other words, our
23 cutoff of 100 milligrams per liter as to whether a
24 compound is considered soluble or insoluble works well for
25 this sort of scheme.

1 --o0o--

2 DR. DODGE: Next slide.

3 I'm now going to some of the toxicokinetics.

4 There are a number of acute human studies, short-term
5 studies. And what you see is a multiphasic elimination of
6 inhaled cobalt metal, or oxides, from the lungs. The
7 initial phase is a rapid phase. The half-life of -- is
8 two to 44 hours. And that's primarily due to mucociliary
9 clearance. And there's an intermediate phase of 10 to 78
10 days half-life. That's primarily due to
11 macrophage-mediated clearance. And then there's a
12 fraction of inhaled cobalt, which is retained long term on
13 the order of months or even years. And that's due to what
14 is thought to be cobalt bound to cellular components of the
15 lung.

16 With short-term exposure, cobalt did not
17 translocate or accumulate appreciably in other tissues
18 with acute exposure. There are toxico -- next slide.

19 --o0o--

20 DR. DODGE: There are toxicokinetic studies that
21 look at long-term exposure. And this was performed by the
22 National Toxicology Program, which I'll call NTP from now
23 on. They conducted 13-week and two-year inhalation
24 studies with cobalt metal dust in rats and mice.

25 Their main findings. Cobalt concentrations and

1 burdens in exposed rats and mice increased in lung and all
2 other tissues examined, indicating absorption and systemic
3 distribution occurs following inhalation.

4 Next point -- or next finding. Lung cobalt
5 concentrations and burdens in rats and mice increased with
6 increasing cobalt concentrations, but appeared to reach a
7 steady state about day 26 into their 13-week and two-year
8 studies. And finally, cobalt burden steadily decreased
9 following cessation of cobalt exposure.

10 --o0o--

11 DR. DODGE: Slide number 7. Continue on --
12 Continuing on with their findings. Cobalt concentrations
13 in rats showed the following order: greatest in lung,
14 followed by liver, kidney, femur, heart, serum, and lowest
15 in blood. And these findings were similar in mice too.

16 Overall, normalized lung tissue burdens measured
17 as a ratio of tissue burden to exposure concentration did
18 not increase with increasing exposure.

19 --o0o--

20 DR. DODGE: Next slide.

21 Now, we'll go on to the carcinogenicity of the
22 cobalt compounds. The NTP performed an inhalation cancer
23 bioassay in rats and mice for cobalt sulfate heptahydrate
24 in 1998. Then they didn't -- they then followed up with a
25 inhalation cancer bioassay in -- with cobalt metal dust in

1 2014. The carcinogenicity findings for cobalt metal dust
2 will be used by OEHHA as the basis of the cancer potency
3 factors for cobalt metal and all insoluble cobalt
4 compounds. And this is for cobalt compounds with a water
5 solubility less than -- less than or equal to 100
6 milligrams per liter.

7 The carcinogenicity findings for cobalt sulfate
8 heptahydrate will be used by OEHHA as the basis of cancer
9 potency factors for soluble cobalt compounds. These
10 are -- again, this is for water solubility greater than
11 100 milligrams per liter.

12 --o0o--

13 DR. DODGE: So these were two-year studies. For
14 cobalt metal, they used F344/NTac rats and B6C3F1/N mice,
15 50 animals per exposure group per sex, per species. The
16 concentrations were 0, 1.25, 2.5, 5 milligrams per cubic
17 meter. Exposure duration was 6.2 hours per day, five days
18 a week, for 105 weeks.

19 For the cobalt sulfate heptahydrate study, or
20 assay, they used a slightly different strain of rats and
21 mice, but the exposure groups were the same size, 50
22 animals per group, per sex, per species. Concentrations
23 were a little lower in here, 0, 0.3, 1.0, and 3.0
24 milligrams per cubic meter. The exposure duration was the
25 same in both studies. In other words, it was 6.2 hours

1 per day, five days a week, for 105 weeks.

2 --o0o--

3 DR. DODGE: Slide number 10.

4 Let's go over the tumor incidence findings after
5 two years of exposure to cobalt metal dust first in the
6 rats. The main finding is increased lung tumor incidences
7 in male and female rats, the alveolar/bronchiolar adenoma
8 and carcinoma combined.

9 The results of the tumor incidence results you
10 see a statistically significant increase in these types of
11 tumors in all exposed groups 1.5, 2.5, and 5.0. And
12 that's in both male and female rats.

13 In addition, there was a positive trend for this
14 tumor type. In other words, as the dose goes up, you get
15 an increase in tumors.

16 --o0o--

17 DR. DODGE: Now, also in rats, you saw other
18 types of tumors. In rats, you see an increase in adrenal
19 medulla tumor incidences. This is -- specifically, this
20 is benign and malignant pheochromocytoma. The increase
21 was at the mid- and high-dose groups in both male and
22 female rats. And there was a positive trend for this
23 tumor type too.

24 --o0o--

25 DR. DODGE: And there were still more types of

1 tumors found in rats. In male rats, there was an increase
2 in pancreatic islet cell adenoma and carcinoma at the two
3 highest dose levels. In female rats, you saw an increase
4 in mononuclear ceel leukemia in all exposed groups.

5 --o0o--

6 DR. DODGE: Now, let's talk about the tumor
7 incidence data in mice. In both male and female mice,
8 there was only one type of tumor found that had increased,
9 and that was alveolar/bronchiolar adenoma and carcinoma,
10 similar to what you saw in the rats. And as with the rat
11 data, you saw an increase in these tumors in all exposed
12 groups.

13 --o0o--

14 DR. DODGE: Now, in the two-year cobalt sulfate
15 heptahydrate assay, or study, the tumor incidence in rats
16 you also saw an increase in lung tumors, although the
17 response wasn't as strong. In male rats there was an
18 increase in this tumor type at the high dose group of 3.0
19 milligrams per cubic meter. In female rats, there was an
20 increase in the two highest dose groups.

21 --o0o--

22 DR. DODGE: And the next slide here, you also saw
23 an increase in pheochromocytoma as you did with rats in
24 the cobalt metal study. However, this response again was
25 not as strong. Female rats, you did see an increase in

1 this tumor type at the high dose group. But in male rats,
2 the data was, what the NTP calls, equivocal evidence.
3 You -- and that's because the increase was only seen in
4 the mid-dose group, a statistically significant increase,
5 but not at the high-dose group. And then there was no
6 positive trend for this tumor type. So they gave it the
7 equivocal evidence.

8 --o0o--

9 DR. DODGE: Now, in mice, you -- again, there was
10 an increase in lung tumors. In male mice, the increase
11 was only observed in the high-dose group. And in female
12 mice, it was in the two highest dose groups. And as with
13 the cobalt metal data, you only saw an increase in tumors
14 in the lung of mice. You didn't see it in any other organ
15 system.

16 --o0o--

17 DR. DODGE: So what other evidence is there for
18 cancer from -- resulting from cobalt exposure?

19 There was only limited data for epidemiological
20 studies. The best of which was by Sauni et al. in 2017.
21 And this was a retrospective study at a Finnish cobalt
22 plant. The N was 995, which is pretty high for an epi
23 study, but this included all employees that worked at the
24 plant for at least one year or more. They did have a mean
25 follow up of 26.2 years.

1 The airborne cobalt concentrations at the plant,
2 it mostly was as the sulfate or metal dust. They measured
3 several times per year. Concentrations ranged from 0.1 to
4 less than 0.02 milligrams per cubic meter. What they
5 were -- the workers were exposed to really depended on the
6 job top type or department they were in at the facility.

7 The results were that they saw no increase in
8 cancer risk with five years of employment at the facility.
9 And this was based on a standardized incidence ratio, or
10 SIR. The so-called control group was a regional Finnish
11 cancer database. An SIR of 1.08 for a total cancer risk
12 suggests they were no different than the control group or
13 not much difference in total cancer risk. What's
14 interesting here is that for the workers, the SIR was
15 0.52. And that was specifically for lung cancer. And
16 this would suggest that the workers actually had less lung
17 cancer than the control group.

18 The authors couldn't explain it, because there
19 was no difference in smoking. They kind of just left it
20 at that.

21 There were some issues with this study. They
22 noted that -- the authors noted that there was respirators
23 available, but not mandatory to use, so it was unclear
24 from the study how often the workers were using these
25 respirators. So we don't actually know what the true

1 exposures were in many of these workers.

2 They didn't have a mean exposure time for the
3 group of workers either. They only presented data as
4 employed at the plant for at least one year or for five
5 years.

6 DR. BUDROE: Dr. Hammond, I think you had a
7 question.

8 PANEL MEMBER HAMMOND: Yes. Thank you. This is
9 good. Yeah. My -- I think I know the answer, but I'll
10 ask it anyway. The question is did they do an analysis by
11 milligram per cubic meter years of exposure? I mean,
12 you're saying that clearly there was a difference by the
13 jobs in the exposures. And in many facilities, 90 percent
14 of the workers might have low or no exposure. And so
15 looking just at that -- all workers compared to the
16 neighboring area might not have any meaning, unless
17 they've at least categorized the jobs or some milligram
18 per cubic meter individual years. Are they -- did they do
19 that?

20 DR. DODGE: I'm pretty sure they didn't present
21 the data as milligrams per cubic meter years.

22 PANEL MEMBER HAMMOND: They did?

23 DR. DODGE: They did not.

24 PANEL MEMBER HAMMOND: Yeah. I mean so I think
25 it's -- one has to be careful how much we can deduce from

1 the study --

2 DR. DODGE: Correct, yeah.

3 PANEL MEMBER HAMMOND: -- if we don't know what
4 percentage of people had any kind of significant exposure.

5 PANEL MEMBER RITZ: I actually think I know why
6 they don't see anything and why they see such weird
7 numbers. All you have to do is look at the table 1 and
8 you see that the bulk of their workers were between 15 and
9 29 years when they were employed. So how many years do we
10 have to wait before we see lung cancer or any other?
11 Twenty-six years isn't enough.

12 PANEL MEMBER HAMMOND: This isn't --

13 PANEL MEMBER RITZ: Yeah, but still, you know, if
14 most people are around 22 or 3.

15 PANEL MEMBER HAMMOND: Yeah, that's back to like
16 the diesel study going back many decades, where ten years
17 after exposure you saw nothing. You had to be at least 20
18 years out. So I think it's important. You're right to
19 point all these out, yeah.

20 --o0o--

21 DR. DODGE: Okay. I'm moving on to the next
22 slide. Other evidence of cancer due to cobalt exposure
23 comes from genotoxicity studies. There was a considerable
24 database of genotox studies for soluble cobalt compounds
25 and cobalt oxide compounds.

1 So this includes the DNA damage assay, or comet
2 assays, oxidative DNA damage assay, in vivo DNA adduct
3 assay, bacterial mammalian gene mutation assays,
4 chromosomal aberration assay, and the micronucleus assay.

5 Now, these were mostly positive findings for
6 genotoxicity, among all these assays. With the exception
7 of the bacterial and mammalian gene mutation assays, those
8 are primarily equivocal or negative.

9 --o0o--

10 DR. DODGE: There are a few genotox studies for
11 cobalt metal dust, where they looked at DNA damage assay,
12 or comet assay, in vivo oxidative DNA damage assay, gene
13 mutation analysis, bacterial and mammalian gene mutation
14 assays, chromosomal aberration assay.

15 Positive genotox findings included results from
16 the comet assay, oxidative DNA damage, and gene mutation
17 analysis. And again, there wasn't a lot of positive
18 results from bacterial and mammalian gene mutation assays.

19 --o0o--

20 DR. DODGE: Next slide.

21 So overall the cancer hazard evaluation here
22 based on lifetime NTP inhalation studies for both the
23 cobalt metal and the sulfate heptahydrate, cobalt is
24 carcinogenic in multiple species, rat -- that includes
25 rats and mice. Cobalt induced lung tumors that were of

1 the same histogenic type in both species. Cobalt induced
2 tumors at one or more sites in both rats and mice. In
3 addition, there was numerous positive genotoxicity
4 studies. Combined, these factors point to a strong
5 potential for cobalt to induce tumors in humans as well.

6 --o0o--

7 DR. DODGE: And next slide.

8 Now, I'll go into the cancer slope factor
9 derivation. The first step in the cancer slope factor, or
10 CSF, derivation is converting the NTP tumor incidence into
11 what's called the effective tumor incidence.

12 The effective tumor incidence is the number of
13 tumor-bearing animals over the number of animals alive at
14 time of first occurrence of the tumor. This removes
15 animals from the assessment that died before they are
16 considered at risk for tumor development.

17 --o0o--

18 DR. DODGE: Now, I just give this table as an
19 example. This is a comparison of the NTP tumor incidence
20 with the effective tumor incidence for rodents exposed to
21 cobalt metal dust. So the NTP tumor incidence is the
22 second column from the right. In the denominator you see
23 50 animals per exposure group. Well, at least in most
24 cases. Sometimes, it's less than 50, because an animal
25 died due to some accident that had nothing to do with the

1 exposures. So they reduce it to like 49 rather than 50.

2 And then the effective tumor incidence is the far
3 right-hand column. You see that the denominator often is
4 less than 50. It's reduced by two or three animals in
5 most cases. And so it reduces it a little bit. What this
6 gives you an indication of is that at least with regard to
7 these types of lung tumors, they were showing up early,
8 like in the first year of exposure in this study, so not
9 many animals died prior to the appearance of the -- of
10 this tumor type.

11 --o0o--

12 DR. DODGE: Next slide.

13 So now we have the effective tumor incidence. We
14 also need to convert the air concentrations to an average
15 daily dose and that's in milligrams per kilogram body
16 weight per day. So this equation is shown here. Dose is
17 equal to inhalation rate times concentration over body
18 weight. Concentration is time adjusted to an annual
19 average. The exposures were 6.2 hours per day. So this
20 is 6.2 over 24 hours. Exposures are five days a week. So
21 this is five days over seven days. Body weight is used.
22 It's an average over the two-year exposures. The NTP
23 measured body weight on a weekly basis during the first
24 year of the exposure and about every two to four weeks
25 during the second year of the exposures. So that's the --

1 how the average was determined -- average body weight.

2 The inhalation rate is based on the body weight
3 of the animal. Body weight -- there's a good relationship
4 between body weight of rodents and its inhalation rate.
5 So we have these equations down here at the bottom next to
6 the bullets, one for rats and one for mice. So this is --
7 we use these equations to determine the inhalation rate
8 based on body weight of the animals.

9 --o0o--

10 DR. DODGE: So using the dose equation here, we
11 converted the chamber -- cobalt metal chamber
12 concentrations to an average daily dose. And this table
13 just shows what the average daily doses we used for the
14 rats and mice.

15 --o0o--

16 DR. DODGE: So now we have the fraction affected,
17 the effective tumor incidence and the dose. So now we can
18 run the multistage cancer model in the Benchmark Dose
19 Software -- U.S. EPA's Benchmark Dose Software to
20 determine the cancer potency. Potency values derived
21 using the bench -- we derived potency values based on a
22 benchmark response, or BMR, response rate of five percent
23 to calculate a benchmark dose. The 95 percent lower
24 confidence bound on the BMD, or benchmark dose, is used to
25 calculate the cancer potency.

1 So the cancer slope factor, what we're looking
2 for here is simply five percent, or 0.05, over the BMDL,
3 which is the 95 percent lower confidence bound on the BMD.

4 --o0o--

5 DR. DODGE: We determined cancer slope factors
6 from all the tumor types that were found, individual
7 tumors. This included the lung tumors in all rats and
8 mice, both males and females. As you may recall, the --
9 we saw pheochromocytoma in male and female rats. Rats
10 also -- or male rats also had an increase in the
11 pancreatic islet cell adenoma and carcinoma. And you saw
12 leukemia increase in female rats. So we developed cancer
13 slope factors or individual cancer slope factors for all
14 these tumor types.

15 --o0o--

16 DR. DODGE: So plugging the info -- the data into
17 the Benchmark Dose, or BMDS, Software, using the
18 multi-stage cancer model, we get a plot fit to the data.
19 In this example, this is the lung tumors in male mice
20 exposed to cobalt metal. So what we have on the X axis is
21 the average daily dose, which I showed you how we
22 calculated earlier -- an earlier slide. And the fraction
23 affected is the Y axis and that's the effective tumor
24 incidence there, which I showed you how we came up with in
25 an earlier slide.

1 So the data points are shown in green with the
2 error bars. In the lower left-hand corner, that's the
3 control group. In the -- as you go up and to the right,
4 you get your low-dose group, mid-dose group, and your
5 high-dose group at the upper right-hand corner there. The
6 Benchmark Dose Software fits a line to that data, which is
7 shown in red.

8 Now, we also plugged into the model that we
9 wanted the benchmark response rate of five percent. In
10 other words, we want to define the response -- five
11 percent response rate, the increase in this particular
12 tumor type, over the control group of five percent.

13 The benchmark response rate gives us a BMD, or
14 benchmark dose. That's the vertical black line in the
15 lower left-hand part of the graph. It's right next to the
16 BMDL, so it looks like one solid line to me from here.
17 But anyway, they were very close together in this
18 particular graph, the BMD and the BMDL.

19 So the dose, or average daily dose, that you get
20 at that BMDL where it intersects the X axis, that gives
21 you a dose. And I believe it's around 0.01 -- 0.011
22 milligrams per kilogram per day. The cancer slope factor
23 is basically just 0.05 over the BMDL of 0.011 milligrams
24 per kilogram day.

25 --o0o--

1 DR. DODGE: Now, before I go on -- let's see.
2 Okay. Well, rats developed tumors in more than one organ
3 system. So before I go on to show you more of the cancer
4 slope factors we devised, we got a note that rats
5 developed tumors in more than one organ system. And
6 basing cancer risk on only one tumor type may
7 underestimate the tumor risk.

8 So we did what's -- we used what we call the MS
9 Combo Model in U.S. EPA to come up with multi-site tumor
10 cancer slope factors. This come -- we used the model to
11 combine the lung, adrenal, medulla, and pancreatic islet
12 tumors combined for male rats. And in female rats, it
13 was -- we combined leukemia, lung, and adrenal medulla
14 tumors.

15 --o0o--

16 DR. DODGE: Now, the final calculation we need to
17 do is to extrapolate to humans, because this is rodent
18 data. So we take the animal cancer slope factor we came
19 up with and go to extrapolate to cancer slope factor human
20 equivalents. And this is used -- this is done by using
21 body weight -- scaling body weight to the 3/4th power.
22 And this equation is shown here in the middle of the
23 slide. The human cancer slope factor is equal to the
24 animal cancer slope factor times the body weight of the
25 human or the body weight of the animal to the 1/4th power.

1 This interspecies scaling factor is used to
2 account for pharmacokinetic differences, as well as for
3 pharmacodynamic considerations.

4 --o0o--

5 DR. DODGE: Now, in this table, this is the major
6 findings of cancer slope factor for each male and female
7 rat and mouse. So in male rats, we -- the highest cancer
8 slope factor we got was, of course, when we combined the
9 various tumors found in male rats. And the cancer slope
10 factor -- the human cancer slope factor is the column on
11 the far right. It was 22.17 milligrams per kilogram day
12 to the minus 1.

13 For female rats, we combined the tumors found in
14 female rats and we came up with 10.7. Male mice, as you
15 recall, tumors were only found in the lung. However, it
16 was a pretty strong response. And that actually resulted
17 in the highest cancer slope factor of 27.49. So among
18 male and female rats, male and female mice, the most
19 sensitive species here is mice, the most sensitive sex is
20 males. So this cancer slope factor is what we use to
21 represent the cancer -- cancer risk from cobalt metal.

22 --o0o--

23 DR. DODGE: However, there was a bit of a hitch
24 here. U.S. EPA came out with the updated version of their
25 benchmark dose software after we first came out with this

1 data, this analysis. In their software, they actually
2 gave recommendations as to the BMR, or benchmark response,
3 that is used in their model.

4 Now, I'm showing this graph from -- I showed you
5 this graph several slides ago. This is again the lung
6 tumors in male mice. The Benchmark Dose Software, it
7 shows the data there for control, low, mid, and, high
8 dose. And then the line that was -- the plot fit or the
9 line fit to that data resulting in a BMD and BMDL at the
10 lower left hand.

11 So the new version of the software now gives
12 recommendations as to the BMR. In this new software
13 update, a five percent BMR resulted in what's called a
14 questionable recommendation. This is because the BMR
15 chosen was three-fold lower than the lowest non-zero dose,
16 and the BMDL was 10-fold lower than the non-zero dose.

17 --o0o--

18 DR. DODGE: So what we did is --

19 PANEL MEMBER BLANC: Can you repeat that?

20 DR. DODGE: Okay. I can try to repeat that.

21 The new Benchmark Dose Software that U.S. EPA
22 came out, a new version, a new update now has
23 recommendations as to how the benchmark response is fit to
24 the line, whether it's an adequate fit, questionable, or
25 unusable. They didn't do this before. They just kind of

1 left it open.

2 So what they're saying here is that a five
3 percent response rate plugged into their software results
4 in a questionable recommendation, because a five percent
5 response rate is outside of their -- is beyond the area
6 of -- I forget what the term is, but's it just too far
7 away from the lowest non-zero dose. If you -- the
8 lowest --

9 DR. BUDROE: The -- essentially what the software
10 has concerns about the BMDL being out -- too far outside
11 the range of observable data.

12 PANEL MEMBER HAMMOND: But, you know, I --
13 it's --

14 PANEL MEMBER KLEINMAN: But that is really a
15 function of the fact that you had very positive results
16 even at the lowest dose. So I don't -- you know, yes,
17 it's outside of strict guidelines, but you're dealing with
18 something that has a very steep onset curve.

19 DR. BUDROE: Correct.

20 PANEL MEMBER HAMMOND: That was exactly my
21 point -- Kathy Hammond -- that you're -- all the doses
22 were over 80 percent of the animals. I mean, I remember
23 there was like 47 or 48 of the 50, you know, got cancer.
24 So I think it's important to think of what -- to be clear
25 what's questionable. There's no question that it's

1 causing cancer. It isn't as potent. The question is just
2 what is the dose where you get a five percent increased
3 risk. And certainly, it's really hard to calculate that
4 given that kind of response. But I think it's important
5 to be clear, there's no question about the cancer risk.

6 PANEL MEMBER GLANTZ: Go ahead.

7 PANEL MEMBER RITZ: I find that very suspicious
8 that a software package is giving a qualitative statement
9 about data. Isn't that something that experts like us
10 should be deciding?

11 DR. BUDROE: That's what I guess the editorial
12 judgment that U.S. EPA chose to make.

13 PANEL MEMBER GLANTZ: Well, I met with the --
14 well, I met -- I mean, you guys have stolen my punch line.

15 PANEL MEMBER HAMMOND: Yeah, let the
16 biostatistician speak.

17 PANEL MEMBER GLANTZ: So I met with them
18 yesterday to talk about this. And I think that -- I agree
19 with you, I mean, this software is making an arbitrary
20 decision that's stupid. And I think it should be ignored.
21 And, I mean, if you look -- if -- mean they're right that
22 the lowest exposed group is quite a lot higher than zero, so
23 there is that big gap. But you do have a zero exposure
24 control group too. So your -- the estimate is not outside
25 of the range of the data.

1 And as Mike said, I mean, if you look at this
2 graph, probably it's underestimating the slope of the
3 curve at low doses, so that it's probably way
4 underestimating the actual cancer potency at the bottom.
5 Now, the problem is you don't have any data down there and
6 so you don't know. But I mean my recommendation is that
7 this -- that they just stick with the 0.05 estimate coming
8 out of the program, say that there's uncertainty here,
9 because there's that -- you know, they didn't expose any
10 animals down, you know, with a low -- you know, a low
11 exposure, but that they should -- that whole thing about
12 the software is unhappy should just be dropped out of the
13 report. You should use what it came up with, but then put
14 a strong statement in that that almost certainly is
15 underestimating the cancer potency at low doses, I mean,
16 for exactly the reason that Mike said.

17 So this isn't -- by the way, in the cadmium -- or
18 pardon me, cobalt sulfate thing, this isn't a problem
19 there, because they have data down at the low -- you know,
20 they have data where rats were exposed at lower levels.
21 But I found that that was all very confusing to me. And I
22 think what they did is -- I mean, it's not, you know, as a
23 general principle, a bad thing to say it would have been
24 nice to have had more data at lower exposures. But I
25 think some software programmer programmed that, that's not

1 a reason to make policy here.

2 And I think we need to strongly say this is
3 probably underestimating the risk. I think it's the best
4 you could do with the data you have, because if you start
5 coming up with some alternative formulation for the shape
6 of the curve, which is, you know, what you would need to
7 do, that's going to be arbitrary too. So I think using
8 the standard protocol that you did to fit the curve is
9 fine.

10 But anyway. But I think you have like one, two,
11 three, four people who all independently came up with the
12 same conclusion, which is pretty strong.

13 PANEL MEMBER BLANC: Paul Blanc. But there
14 actually could be other sensitivity analyses you could do
15 with the data that you have that might reassure you about
16 the dynamics or shape of this dose response. And two
17 thoughts that come to mind immediately. One is that since
18 you're not looking at multi-site cancers with the mice,
19 but only looking at the lung cancer relationship, that's
20 correct, I understand

21 DR. DODGE: Correct.

22 PANEL MEMBER BLANC: For comparison --
23 comparative purposes, it might be helpful to see what your
24 dose response looks like for lung cancer only in the rats,
25 since biologically they should be behaving similarly.

1 That's one thing. Because the -- you make the argument
2 that on general principles, multiple cancers should be
3 combined as the endpoint, because that will be more
4 sensitive.

5 But I'm not sure that that's what your data would
6 show. And secondly, there is a phenomenon here where the
7 data for actual lung cancer and not lung cancer plus lung
8 adenoma are cleaner, in that there's no spontaneous lung
9 cancer in the control rodents, at least in the rats. It's
10 zero, right?

11 DR. DODGE: I think you're correct. Yeah, the
12 rats may have not had as much --

13 PANEL MEMBER BLANC: Right.

14 DR. DODGE: -- of the spontaneous tumors as the
15 mice did.

16 PANEL MEMBER BLANC: So again, perhaps not as
17 your main analysis, but as your way of looking at the --
18 how the data performed, you may find that your estimate
19 has less issues with it if you actually look at the
20 cancer, even though you make the argument that it's more
21 sensitive to combine the cancer and the aden -- the
22 non-malignant tumors of the lung.

23 I don't know if that would be the case, but I
24 could imagine, looking at different scenarios as a way of
25 saying, look, this is all going in the same direction.

1 There's not an order of magnitude difference between the
2 estimates we're getting for the cancer slope. And that
3 might reassure you that some of the challenges you're
4 facing with this steep takeoff are -- can be discounted.

5 I'm not sure that would be the case, but it would
6 be -- it potentially might be worth you looking at that.
7 Also, by the way, is it such a violation to combine the
8 male and the female rats, and the male and the female
9 mice? Because they're all behaving the same way, it seems
10 like you're greatly reducing your power to make an
11 accurate estimate by --

12 PANEL MEMBER GLANTZ: Yeah. You know, but the
13 problem they -- I mean, that's all reasonable. But the
14 problem they have is that this -- like, if you go back to
15 slide 19 -- or 10 rather. So -- so if you look -- if you
16 look at the -- at the tumor incidence between zero and the
17 lowest dose, it goes from 2 to 25.

18 PANEL MEMBER BLANC: But it doesn't --

19 PANEL MEMBER GLANTZ: Well, this is just the lung
20 cancer.

21 PANEL MEMBER BLANC: This is both the adenoma and
22 the carcinoma. That was actually my point about all --

23 PANEL MEMBER GLANTZ: Oh.

24 PANEL MEMBER BLANC: -- what -- when you look at
25 the carcinoma, which is in the main document, I don't know

1 what page, but --

2 PANEL MEMBER GLANTZ: So if you go to 14. So
3 what's 14, is that the one you said Kathy?

4 PANEL MEMBER KLEINMAN: Page 55.

5 PANEL MEMBER GLANTZ: So that's 15.

6 PANEL MEMBER HAMMOND: Oh, these are sulfate.
7 You want to do metal, don't you?

8 PANEL MEMBER BLANC: I think it's in the main
9 document, not in the slides.

10 PANEL MEMBER GLANTZ: Well, 13.

11 PANEL MEMBER KLEINMAN: Page 55 in the main
12 document.

13 PANEL MEMBER HAMMOND: I think just to say I
14 think kind of what we're thinking about, if I'm
15 understanding my colleagues, but that it may be that
16 because there's this high background level that we're
17 starting with 16 out of 50 in the group that you're using
18 for analysis, it might be that if you were to use another
19 species, it might be worthwhile seeing. I mean, I think
20 that we could come away from what you've shown to say --
21 originally, we're starting this that whatever estimate we
22 have has a high risk of being not sufficiently protective,
23 that we've underestimated the potency, as you've said.
24 But it might be worth trying to check the potency with the
25 rats as opposed to the mice, because there's less of that

1 background, and therefore may be less of that error.

2 And then if it shows a -- it may not show as low
3 a number. If it doesn't, we know that the mice are more
4 compelling then. But it might give us better information.
5 I guess I would love to just see what that came out to, if
6 you did the dose response for the rats, male rats as
7 opposed to the male mice. The male rats having the
8 background zero dose of two as opposed to 16 out of 50.

9 I can't look at this and know how it will come
10 out. I'm sorry.

11 DR. DODGE: Oh. Remember, we adjusted the
12 inhalation rates based on the body weights of the animals.
13 And we used two different equations for rats and mice. We
14 update -- OEHHA, our agency, updated that information,
15 because we have a lot more recent data on the body weight
16 relationship to inhalation rates. We got a much -- I
17 think we did a good job in coming up with a better
18 equation than what was used, which was, you know, 30
19 years, 35 years old.

20 We only had -- we only had time to do that for
21 the rats. That had a -- quite an effect on the cancer
22 slope factor though, because we had used Anderson 1983
23 equation for rats.

24 So that caused a difference, because for mice we
25 used the old equation. That's all we had at the time. We

1 also have to take into account where extrapolating to
2 humans from a smaller animal from mouse. So you get a
3 larger difference in -- larger increase in the cancer
4 slope factor. So I understand what you're saying just
5 looking at incidence rates, how it looks like the rats
6 should have a bigger response. But in our extrapolation
7 from inhalation rate, yeah, it does affect it. Makes it
8 look like the mice are much more sensitive than they truly
9 are.

10 PANEL MEMBER GLANTZ: Yeah, but -- and then I'll
11 stop and give Joe a chance. But that -- none of this
12 though is going to deal with the problem that your lowest
13 positive exposure is a lot high -- you have a big response
14 in all of the data at the lowest expose -- non-zero
15 exposure they looked at.

16 And so you don't have any direct data with which
17 to estimate the curvature of the line between zero and
18 that dose. And the slope is depend -- very dependent -- I
19 mean, it's near zero is going to heavily depend on that.
20 So I think that you're just kind of stuck unless somebody
21 goes and gets some more data.

22 But it's very clear I think that the -- I mean, I
23 think you should do it the way you did it, but I think we
24 need to be -- just say that you are almost certainly
25 underestimating the potency. Like, if you took -- if you

1 took the data, if you -- just for kicks -- I mean,
2 somebody suggested a sensitivity analysis. And if you
3 just go back to the slide with the curve on it. You know
4 one thing you could try, just for kicks, is to fit that
5 with a saturating exponential. And you're going to get a
6 really steep slope at the low levels. But the problem is
7 is that that slope is effectively going to be determined
8 by the upper doses where there's not that much difference.
9 So that's going -- it will be a much bigger slope, but
10 it's also going to be kind of unreliable too. So I think
11 you have -- the problem is you just don't have data to
12 fill in that hole. I'll be quiet.

13 PANEL MEMBER RITZ: So being an epidemiologist,
14 we usually would not estimate this in a cohort according
15 to the lifetime rate, but something like a Cox model time
16 to event. And I heard you saying that these mice or rats
17 actually got their tumors early. That's why nobody else
18 died, right?

19 So given that they got their tumors early, they
20 had no time to -- those -- and almost every one of these
21 animals developed a lung cancer, we really don't know
22 what's happening at lower doses when they don't develop
23 lung cancer that kills them off in terms of other organs.

24 So I would actually be quite concerned that there
25 might be effects on other organs that we're just not

1 seeing because they would be showing effects later. One
2 comes to mind, because the alveolar clearance here, where
3 does that go, the mucous? It gets swallowed, right? So
4 it goes in the GI tract.

5 Also, these mice and rats probably lick
6 themselves and aerosolized exposures are on the -- on the
7 fur. So I actually would really like to see it, but I
8 know we don't have them, but I would like to see lower
9 dose studies and other organ systems.

10 DR. BUDROE: Yeah. I think part of the problem
11 here is when NTP did the cobalt metal study, they were
12 looking back at the cobalt sulfate study and thinking,
13 well, cobalt metals can be less potent, and they wound up
14 with a big surprise. I mean, they essentially said in the
15 document they were surprised. So that's where part of the
16 problem is coming from, but it's really not likely that
17 anybody else is going to repeat this study. I mean, NTP
18 is one of the few people that are -- if only doing the
19 inhalation cancer studies these days.

20 PANEL MEMBER LANDOLPH: Yeah, a couple comments.

21 First, thank you for all your work. It's a huge
22 amount of work, and I appreciate it.

23 In looking at that curve -- in looking at that
24 curve that you have up there, I mean, one of the
25 questions -- a couple questions occurred to me. One is,

1 is it linear from zero up to some dose? And then does it
2 saturate -- as a response saturating for some reason? Or
3 the other one is, is it a different function entirely than
4 a linear that saturates in it. That's -- I would discuss
5 that concisely in your report, so that you let people know
6 we -- we're a little bit curious about that shape of the
7 curve.

8 The other thing is the -- when I was reviewing
9 this, the whole thing sounds a lot like nickel
10 carcinogenesis to me. There's tremendous parallel between
11 the two. I've worked on nickel carcinogenesis since 1983.
12 And the insoluble nickel compounds are -- we call them
13 phagocytosed the particles from 1 micron up to 10, or
14 something like that.

15 And because when you phagocytose these particles,
16 you can see them under the light microscope, you get a
17 real bolus of nickel into the cell. So if it were all to
18 dissolve, it would be millimolar, and sometimes the cells
19 pop from osmotic shock.

20 And this sounds very much like that. And the --
21 the insoluble compounds are much, much more toxic and more
22 carcinogenic than the soluble ones. The soluble ones
23 really not are very -- are not very carcinogenic at all,
24 because you get the bolus into the cell by phagocytosis.

25 And then the same -- the other point - it's very

1 interesting. I was reading this - that there's some
2 thought about oxygen radical generation, which has
3 gradually been creeping up over the years, but not a lot
4 of real certainty about mutagenesis. And it turns out
5 with nickel - we're getting ready to publish a paper now -
6 the nickel is not positive in any of the classical
7 mutagenesis assays for a mutation while being resistance
8 to mutation 6-thioguanine resistance.

9 But we hit a home run, we sequenced the whole
10 genome of the nickel transformed cells. And guess what,
11 there's all kinds of chromosome amplifications and
12 deletions, and there's point mutations all over the place.
13 So there's something interesting going on with these
14 oxygen radical generating agents, like nickel and like
15 this cobalt that still needs to be ferreted out. It seems
16 very interesting.

17 DR. DODGE: Yeah, there's similar studies with
18 cobalt showing that particles are phagocytosed and result
19 in up to millimolar concentrations within cells. Yes,
20 it's very similar to nickel.

21 PANEL MEMBER GLANTZ: So just before you go on,
22 we've had this big discussion, I mean I think -- can we --
23 you know, I know we usually make recommendations at the
24 end, but we just finished talking about this. And I'd
25 like to suggest -- I don't know if I want to -- I'll move

1 it, so we can do something, that you delete that
2 discussion of the software telling you what to think and
3 just go -- stick with the -- just the conventional
4 analysis, but highlight the fact that because of these
5 data problems, they're almost underestimating the cancer
6 potency.

7 I don't know how much, but you're almost
8 certainly unestimating it. So I'd like to move that we
9 recommend they do that. Is that okay?

10 CHAIRPERSON ANASTASIO: Well, let me address that
11 for a second. I think you're going to talk in the next
12 couple of slides about your alternative approach, right,
13 which ended up giving a slightly more protective value, a
14 slightly higher cancer slope factor?

15 DR. DODGE: Yes.

16 CHAIRPERSON ANASTASIO: So it seems to me that
17 we'd rather go with the slightly higher cancer slope
18 factor.

19 PANEL MEMBER GLANTZ: Okay. We can wait. Okay.
20 I'll withdraw what I said. But I'll tell you, the problem
21 I have with that is that essentially what they did, if you
22 look in the appendix, is they have the formula -- the
23 equation for the line and then they -- they differentiate
24 it to get a slope, but then they -- they use the
25 parameters from that fit in the calculation. So all of

1 the uncertainties and problems that are associated with
2 the fact that there's no data down -- you know, between
3 zero and the first point are still embedded in that other
4 calculation.

5 So I actually think it's kind of misleading to
6 say that's somehow better, you know, because it's based on
7 the same information. It's just sort of making a couple
8 of different assumptions, which I think are pretty
9 arbitrary. And, you know, basically, the 15 percent
10 number that that's -- rather than using a five percent
11 over the background, they're using 15. But, I mean, as
12 best as I can tell, that was just picked to get it up high
13 enough that the computer program wouldn't complain, which
14 I think is not a good reason.

15 But I'll withdraw my suggestion. But I -- I hate
16 that other analysis, because I think it just look -- it's
17 trying to look different. But every single problem that
18 we've talked about so far with this is just -- it's in the
19 other analysis too. It's just more obscure.

20 PANEL MEMBER KLEINMAN: One other point. In your
21 an inhalation dose calculation, it looked to me like
22 you're assuming 100 percent deposition. You don't take
23 into account that not 100 percent of the particles will
24 actually deposit in the respiratory tract.

25 DR. BUDROE: That is a health protective

1 assumption, correct.

2 PANEL MEMBER KLEINMAN: But in this case, I don't
3 think it's health protective, in that you're calculating
4 your slopes based on a higher dose than actually is. The
5 effects you're seeing are most likely due to a lower
6 inhaled dose.

7 DR. BUDROE: I get to where you're going with
8 that. It's -- the problem is trying to adapt. For
9 example, one of the -- for example, the multi-path
10 particle dosimetry software trying to adapt that to what
11 we're doing, and nobody has really gotten to that point
12 yet. U.S. EPA is not even doing it with all their squads
13 of particle dosimetry people.

14 PANEL MEMBER KLEINMAN: Yeah. No, I'm just
15 amplifying what Stan just said.

16 DR. BUDROE: Right.

17 PANEL MEMBER KLEINMAN: You are coming in with a
18 less than conservative actual proposal. So in all
19 likelihood, you know, even though you're getting, you
20 know, a -- you know, and you will be having a slightly
21 bigger slope factor. This, in all likelihood, does not
22 overestimate the risk. If anything, it's underestimating
23 potential risk.

24 DR. BUDROE: Agreed.

25 PANEL MEMBER KLEINMAN: And I think, you know,

1 that should be made very clear.

2 PANEL MEMBER HAMMOND: Yeah. I just would like
3 to say -- Kathy Hammond -- I agree as well. And I think
4 it's very important that we -- we make that point that
5 where there are problems in the data - and they're not
6 you're problems. They're the problems with the
7 experiments - that the errors that they lead to are all
8 errors which underestimate the potency, because it --
9 there's an underlying assumption of 100 percent
10 deposition, which overestimates the dose, therefore the
11 effective dose -- without knowing how much it's doing it,
12 the effective dose is actually a lower number, following
13 Michael's comments.

14 And similarly, we've got the dose -- the -- I
15 don't actually agree with Stan in taking away the
16 designation of questionable. Because the software tells
17 you that, I think we need to be honest and up front with
18 that, but I think we just need to then, after that, say
19 what's questionable is it could well be that this is
20 underestimating the potency, not that -- there's no
21 question whatsoever about the carcinogenicity.

22 And I think it's just -- it's very unfortunate
23 NTP didn't look at their data and say oh, my golly, time
24 to do another study at lower doses.

25 PANEL MEMBER LANDOLPH: Yeah. Of course, the

1 problem -- the problems of getting more low-dose data are
2 well known. You start getting into the mega-mouse
3 experiment that Liane Russell did at Oak Ridge Laboratory.
4 We're using thousands of mice to get it. So you're having
5 intrinsic difficulties addressing that.

6 But, you know, Was looking at this curve. And if
7 you almost took zero in the first two points, you might
8 get a better estimate of the slope. This curve -- the
9 slope is changing at every point clearly. And I think you
10 should discuss that, as best you can. You can't fix it at
11 this point, unless somebody comes up with a new
12 theoretical construct.

13 PANEL MEMBER GLANTZ: That might be a better way
14 to do it.

15 PANEL MEMBER LANDOLPH: If you can do it.

16 PANEL MEMBER GLANTZ: Well, just --

17 PANEL MEMBER HAMMOND: Just do a straight line.

18 PANEL MEMBER GLANTZ: -- just do a straight line.
19 That's probably better.

20 PANEL MEMBER HAMMOND: Well, I was looking at
21 that.

22 PANEL MEMBER LANDOLPH: With zero in the first
23 two points. Yeah, I think that would be more accurate.
24 Just tell people why you're doing it.

25 CHAIRPERSON ANASTASIO: Thoughts about that idea.

1 OEHHA.

2 DR. BUDROE: We would have to -- I mean, we
3 prefer to use all the data -- all the data we have. You
4 know, we'll drop high doses, if necessary, if we can't get
5 a good fit. But if we can get a fit, we prefer --

6 PANEL MEMBER GLANTZ: Well, no, I mean, you --
7 that gets back to the con -- I mean, I agree with Kathy.
8 We could leave the questionable thing in there and it
9 would say. But the thing that makes it questionable is
10 that you're underestimating the dose. But actually, you
11 know, it may be if you're interest in getting something
12 that's, you know, closer to reality, drawing the straight
13 line between the first two points. I mean, I just drew
14 the line. It's probably not going to make a huge
15 difference actually.

16 But that might be a way to like do a little bit
17 of a sensitivity analysis or something. If you just do a
18 straight line between the first two points, and -- but
19 it's clearly -- the questionable thing about the fit is
20 that you're underestimating the slope at the low doses.
21 There's just no question about that.

22 And, in fact, if you look at the residuals, you
23 can see that you're below that -- the first non-zero
24 point, the fifth is way below that, which is more evidence
25 that you're underestimating the slope at low levels. I

1 mean, whether based on the biology, there would be
2 something you could say is, well, here's the slope we've
3 got. We're going to increase it by a factor of something
4 or another to compensate for all these problems. I don't
5 know if that -- we could come up with something that would
6 be defensible.

7 But, you know, it's just clear that -- and again,
8 I don't think that the slope -- the thing you did next
9 solves the problem. It just obscures it.

10 PANEL MEMBER RITZ: So this is a parametric
11 model, right? You could just use a spline with a node at
12 the first point to estimate that, instead of, you know,
13 anything else.

14 PANEL MEMBER HAMMOND: Then it would be a
15 straight line.

16 PANEL MEMBER RITZ: Yeah.

17 CHAIRPERSON ANASTASIO: Daryn, do you want to
18 tell us about the approach that Stan hates.

19 DR. DODGE: Well, okay.

20 (Laughter.)

21 DR. DODGE: All right. So we're going on to the
22 next slide here. So, what we were -- what we're -- what
23 we were proposing was that if a BMR of five percent, for
24 example, yields a questionable cancer slope factor, we
25 would use what's called the exact formula for the

1 calculation of the cancer slope factor. And we give this
2 equation here. It's the cancer slope factor is equal to
3 the minus natural log of one minus BMR over the BMDL.

4 So this estimate is derived by solving for the
5 beta parameter in the risk equation and inserting the
6 result into the log-likelihood equation for beta to use it
7 to profile the BMD and obtain the BMDL.

8 --o0o--

9 DR. DODGE: So the next slide, what we -- when we
10 applied the exact formula, we get a constant BMD over a
11 range of BMRs. From five to 15 percent we got the same
12 BMD. This exact formula appropriately accounts for the
13 increased curvature of the dose response relationship at
14 higher dose levels and BMRs.

15 Again, what I should note here is that in the
16 final bullet that BMR 15 percent gave a viable response in
17 the Benchmark Dose Software. So five percent gave a
18 questionable, but we only had to bump it up to 15 percent
19 to get a viable. That's because the BMDL is now within
20 ten-fold of the lowest non-zero dose. So I'm just
21 explaining the U.S. EPA software.

22 --o0o--

23 DR. DODGE: So a different -- the benchmark dose
24 or the -- I should say the cancer slope factor that
25 results from going from five to 15 percent in order to get

1 a viable response really doesn't change much. The cancer
2 slope factor that is doesn't change that much.

3 --o0o--

4 DR. DODGE: So we're -- that's what we're showing
5 in this next table. So the U.S. EPA software -- Benchmark
6 Dose Software their results are kind of in the middle
7 columns there. The cancer slope factors are in bold, and
8 the CSF column, and next to it is their recommendation.

9 So at a five percent response rate, you get a
10 cancer slope factor that's questionable. If you go down
11 to the next row, a benchmark response rate of 10 percent
12 is also questionable. Then you get down to a BMR 15 of
13 percent and it's called viable and recommended.

14 The cancer slope factor doesn't change all that
15 much. It goes from 4.46 to 4.22. Now, in the far
16 right-hand column, that's where we applied the exact
17 formula. And you'll see from a BMR of five percent to 15
18 percent, it gave the same cancer slope factor of 4.57
19 milligrams per kilogram day to the minus one. So that's
20 what we proposed using.

21 DR. BUDROE: And kind of to put this into
22 perspective is what you're talking about is a change in
23 the potency factor of like five percent. You know, we're
24 a long way from order of magnitude differences. So we're
25 kind of fine-tuning this. But in real-world terms, these

1 numbers are all falling out to be about the same.

2 PANEL MEMBER GLANTZ: Yeah. Well, see, that's
3 another reason to like not do it.

4 (Laughter.)

5 PANEL MEMBER GLANTZ: Because there's nothing --
6 you're pulling 15 -- basically, you picked 15 percent so
7 the program wouldn't complain, but that doesn't
8 fundamentally change the data or the problem that you've
9 got. And so I -- I just think you should go with the --
10 they're all 4.5, you know, or less, and -- anyway, I'll
11 stop obsessing. But four of us had the same exact view of
12 reading this inde -- without talking to each other.

13 PANEL MEMBER LANDOLPH: And, you know, it would
14 make me happier if you just did a simple linear least
15 squares fit to the zero point and the first two data
16 points, and just put it in the document and say this is a
17 comparison of what we do, what we get no matter what we do
18 through these three different techniques just as a check.

19 DR. DODGE: Sure. We can -- we can add that,
20 yeah.

21 PANEL MEMBER LANDOLPH: Yeah, that shouldn't be
22 too much work I don't -- I hope.

23 --o0o--

24 DR. DODGE: Okay. I'll go on to the next slide
25 here.

1 PANEL MEMBER GLANTZ: One point. By first two
2 data points, you mean the zero and the first non-zero?

3 PANEL MEMBER LANDOLPH: First two, yeah.

4 PANEL MEMBER GLANTZ: Right.

5 PANEL MEMBER LANDOLPH: So the zero point and
6 first two real --

7 PANEL MEMBER GLANTZ: Well, I wouldn't use the
8 first two, I would just -- because that's going to again
9 underestimate.

10 PANEL MEMBER HAMMOND: The first one is the same.
11 They're the same Y value.

12 PANEL MEMBER GLANTZ: Yeah. But X values are
13 very different. So you're going to -- that's --

14 PANEL MEMBER HAMMOND: That's what I'm trying to
15 say.

16 PANEL MEMBER GLANTZ: Yeah, so that's going to
17 give you a lower slope on that.

18 PANEL MEMBER LANDOLPH: Yeah.

19 PANEL MEMBER GLANTZ: So, I mean, I think if
20 any -- if you want to do it, I would just use zero and the
21 first point.

22 PANEL MEMBER LANDOLPH: Yeah, I hear what you're
23 saying. I'm thinking that the low-dose points are also
24 not so accurate, you know, because they're smaller, and
25 you have big error bars and all that. So it's kind of a

1 compromise. Do it both ways and just report what you got.

2 PANEL MEMBER GLANTZ: I don't like that either.
3 I think you should just go with the standard approach and
4 say we -- the software highlighted a problem that there's
5 a lot of uncertainty, but the -- one thing we're pretty
6 confident of is the uncertainty is all in the direction of
7 underestimating the risk. You know, it's not questionable
8 in that you're overestimating the risk. It's questionable
9 that you're underestimating the risk.

10 DR. DODGE: Okay. So I'll go back to this slide,
11 it looks like, 36. Well, using the exact formula, we come
12 up with a cancer slope factor of 28 milligrams per
13 kilogram day to the minus one. That's all I'm showing
14 here in this slide the extrapolation to the human cancer
15 slope factor.

16 --o0o--

17 DR. DODGE: Next slide.

18 So -- okay. That was just for cobalt metal. We
19 also did a derivation for cobalt sulfate heptahydrate. So
20 we developed cancer slope factors for the lung tumors, for
21 pheochromocytoma. It was in female rats only.

22 Then we also did a multi-site tumor cancer slope
23 factor combining the lung and adrenal medulla tumors that
24 occurred in female rats.

25 --o0o--

1 DR. DODGE: So this will go a little faster,
2 because I went into more detail with the metal. We don't
3 have to do so with the sulfate here.

4 Of course, we have to determine the effective
5 tumor incidence. And so that's just a comparison here
6 for -- in female rats, the pheochromocytoma in the lung
7 tumors.

8 NTP tumor incidence is the column second from the
9 right. Again, it's 50 animals per group unless some
10 animal died early in the study from an accident. And it
11 died -- basically, died from some cause not having to do
12 with the exposures. And the effective tumor incidence is
13 over there in the right-hand column.

14 You might notice that these numbers are -- this
15 effective tumor incidence numbers are lower over here for
16 lung tumors compared to the metal study. That's probably
17 because -- I didn't check, but it's probably because for
18 cobalt sulfate, the tumors were showing up later than they
19 did for cobalt metal. So more animals are dying due to
20 other causes before the appearance of this first -- before
21 a first appearance of this tumor.

22 --o0o--

23 DR. DODGE: Okay. We also had to calculate the
24 daily dose. And this is just a table showing our daily
25 dose in milligrams per kilogram day. And again, that was

1 using the equation up there at the top, the inhalation
2 rate times concentration over body weight converting
3 that -- you know, the chamber concentrations to a daily
4 dose.

5 --o0o--

6 DR. DODGE: And then we plug it into the
7 Benchmark Dose Software. And in this particular example,
8 this is also in male rats, as we showed for cobalt metal,
9 the lung tumors in male mice. I'm sorry. It's lung
10 tumors in male mice here. So the benchmark dose and BMD
11 and BMDL are shown in the lower left. Those are the
12 vertical black lines. And as you notice, it's situated
13 closer to the lowest non-zero dose there.

14 So we didn't have the issues with the Benchmark
15 Dose Software we did for cobalt metal or we're getting a
16 questionable response when used a BMR of five percent.

17 --o0o--

18 DR. DODGE: Going on to the next slide. Here, we
19 look at the highest cancer slope factor we derived for --
20 in male rats, female rats, male mice, and female mice. As
21 you might expect, the highest cancer slope factor -- human
22 cancer slope factor we derived was when we combined the
23 two different tumor types, we saw that increased in female
24 rats. That was the adrenal medulla tumors and the lung
25 tumors. And when we combined that, we have a cancer slope

1 factor of 13.41.

2 --o0o--

3 DR. DODGE: Now, because this was in cobalt
4 sulfate heptahydrate, we need to normalize to the cobalt
5 content in the sulfate heptahydrate. This is because the
6 cobalt ion is considered to be the primary factor for
7 cancer risk. So the cobalt sulfate heptahydrate cancer
8 slope factor was normalized to the content of cobalt from
9 the specific NTP study.

10 Now, there was another hitch here. Even though
11 NTP throughout their entire document talks about cobalt
12 sulfate heptahydrate, what they actually found they
13 exposed their animals to was the hexahydrate, which I show
14 in red there. It was 6H₂O's rather than seven.

15 Now, the heptahydrate dehydrates to the
16 hexahydrate at a temperature of 41.5 degrees centigrade,
17 which I think is equivalent to about 107 degrees
18 Fahrenheit. The NTP, even though they talk about
19 heptahydrate throughout their document, and in nature you
20 would be exposed to the -- most likely exposed to
21 heptahydrate, their particular particle generating system
22 resulted in the animals being exposed to the hexahydrate.
23 They only mentioned this in a few sec -- a few paragraphs
24 in their document.

25 And this was pointed out in our -- in the

1 comments that we had used the heptahydrate rather than the
2 hexahydrate to normalize the cobalt contents anyway. The
3 point is here we use the hexahydrate, the same form of the
4 sulfate -- the cobalt sulfate that the animals were
5 exposed to in order to come up with a cancer slope factor,
6 and that was 3.0 milligrams cobalt per kilogram day to the
7 minus one.

8 --o0o--

9 DR. DODGE: Now, the last calculation we need to
10 do - we're almost done here - is what's called an
11 inhalation unit risk. This is simply taking the cancer
12 slope factor and converting it to a form -- or to units of
13 micrograms per cubic meter to the minus one. So this
14 equation is the IUR is equal to the cancer slope factor
15 times the breathing rate over the body weight times the
16 conversion factor.

17 So the human breathing rate we use is 20 cubic
18 meters per day. Average body weight is 70 kilograms. And
19 the conversion factor is 1,000. This results in a cobalt
20 metal IUR of 7.8 times ten to the minus three micrograms
21 per cubic meter to the minus one. For cobalt sulfate,
22 that IUR is about ten-fold lower, 8.0 times ten to the
23 minus four.

24 So what do these numbers mean? Well, for the
25 metal, lifetime exposure to one microgram per cubic meter

1 results in 7.8 chances of cancer in 1,000 individuals
2 exposed. This is equivalent to 7,800 chances of cancer in
3 a million individuals exposed.

4 For cobalt sulfate, heptahydrate, lifetime
5 exposure to one microgram per cubic meter results in 800
6 chances of cancer per million individuals exposed.

7 --o0o--

8 DR. DODGE: Would we like to entertain any more
9 questions or should we go on to response -- comments and
10 responses.

11 CHAIRPERSON ANASTASIO: Yes. But first, are they
12 any questions specifically on the presentation?

13 Any other?

14 Ahmad.

15 PANEL MEMBER BESARATINIA: Very nice
16 presentation. I just wanted to --

17 THE COURT REPORTER: Get closer to the mic.

18 PANEL MEMBER BESARATINIA: Oh. Yeah, I just
19 wanted to go over the cancer slope factor derivation slide
20 number 30.

21 CHAIRPERSON ANASTASIO: Was that 30 or 13?

22 PANEL MEMBER BESARATINIA: 30, 3-0

23 DR. DODGE: 3-0?

24 PANEL MEMBER BESARATINIA: Yeah.

25 DR. DODGE: Okay.

1 PANEL MEMBER BESARATINIA: So it's slide number
2 30, page 16. Go back one slide.

3 CHAIRPERSON ANASTASIO: I think -- is this the
4 slide you wanted?

5 PANEL MEMBER BESARATINIA: Oh, yeah, this is the
6 one. Yeah. Here, you have introduced this formula to
7 account for interspecies differences. And you are
8 indicating the body weight scaling, which can basically
9 take into account the pharmacokinetic and pharmacodynamic
10 differences within human and rodents.

11 What I'm wondering is, not specific to cobalt,
12 but in general, for all inhalatory carcinogens, clearly,
13 there is a great deal of difference between the anatomy of
14 a respiratory tract in rodents versus humans. The nasal
15 cavity in mouse and rats is highly complex and enables
16 them to filter out the vast majority of the inhaled
17 particles, whereas, humans is much simpler. And I'm
18 wondering is it accounted for anyway in this calculation,
19 is anatomical differences?

20 Because clearly, the dose -- the effective dose
21 is not the dose to which the animal is exposed. The
22 deposition of particle is far lower than the amount of the
23 cobalt that these animals are being exposed to.

24 DR. BUDROE: Yeah, this is similar Dr. Kleinman's
25 earlier comment. And right now, we don't have -- you

1 know, eventually, we might be able to work in something
2 like the NPPD model and account for deposition, but right
3 now we're just not at the technical point of being able to
4 implement that. And, you know, even, for example, U.S.
5 EPA isn't doing that right now either.

6 So it's something that we might try to do in the
7 future, but we're not there yet. And this -- the
8 interspecies scaling factor doesn't really account for
9 that. It's more for peak PBE and, you, know
10 pharmacokinetic pharmacodynamic differences. It's not so
11 much for deposited dose. You know, taking and exposed
12 dose to an absorbed dose.

13 PANEL MEMBER GLANTZ: Is there -- is there any
14 data -- you know, leaving aside doing fancy modeling, but
15 is there any data of like what fraction of the exposed
16 dose actually gets absorbed for cobalt? Does anybody
17 know?

18 DR. BUDROE: No, there's no empirical data out
19 there for that.

20 PANEL MEMBER BESARATINIA: One thing we have
21 observed from our own study is that we have to increase
22 the amount of dose 100 times, sometimes even 1,000 times,
23 in mice in order to produce the effects that are present
24 in humans when they're exposed to, let's say,
25 one-hundredths of the dose or one-thousandths of the dose.

1 So there is a clear difference between the dose --
2 effective dose between humans and rodents.

3 DR. BUDROE: ANd would you be talking about -- is
4 that PM, for example?

5 PANEL MEMBER BESARATINIA: It could be. It could
6 be, for example, particulate matter as a index for that.

7 DR. BUDROE: Yeah, there's some things like my
8 kind of seat-of-the-pants perception of the -- comparing
9 sensitivity of rodents to humans. Like humans seems to be
10 a lot more sensitive, for example, to diesel exhaust than
11 rodents. You know, you see a cancerous -- a cancer
12 response in humans that's much greater for the same
13 concentration than you would see in rats and mice or some
14 things where it's -- the reverse is true.

15 So it's probably going to go on a
16 chemical-by-chemical basis. And we're -- don't have any
17 empirical data for cobalt where we can really tease that
18 out.

19 PANEL MEMBER BESARATINIA: Okay.

20 PANEL MEMBER HAMMOND: In terms of the
21 deposition, that's going to depend on particle size, of
22 course. And I don't -- I was going back. You were saying
23 that they called it heptahydrate, but it was actually
24 hexahydrate. And I didn't read the original paper, so I
25 apologize for that. But how -- how were they actually

1 producing the material they were exposing the animals to?
2 Was it -- was it at the higher temperatures that they were
3 producing it? And do -- do they -- you know, to say which
4 is the right way to calculate, that really would depend on
5 whether they were weighing it before and it got converted
6 in the air, so it's a different thing, but you wouldn't
7 take that into account in the calculations. It just
8 depends on when the weighing was done.

9 DR. BUDROE: Well, I believe it was partly the
10 way that they were actually generating the aerosol and it
11 was heating the material up enough that it essentially
12 desiccated it. You know, you drop that one water
13 molecule.

14 PANEL MEMBER HAMMOND: But I guess if they
15 weighed the material before they heated it up - that's the
16 weight that they're thinking the dose is - then you
17 actually still want to say heptane in terms of calculating
18 the cobalt equivalent value, because that's from which it
19 was weighed. And --

20 DR. DODGE: Well, they were -- they had -- they
21 used methods to actually measure what the animals were
22 exposed to in the chamber.

23 PANEL MEMBER HAMMOND: Okay.

24 DR. DODGE: And they -- yeah, in the pro -- you
25 know, they started with cobalt sulfate hexahydrate in

1 solution and then atomize it, you know, blowing it out
2 into the chamber. They didn't describe heating it, but
3 it's certainly possible they do in the process.

4 PANEL MEMBER HAMMOND: Oh, so, if -- were -- they
5 actually were having it aerosolized in a solution, so
6 it --

7 DR. DODGE: From a solution, yeah.

8 PANEL MEMBER HAMMOND: Right. So we're not
9 talking about the --

10 DR. DODGE: It desiccated.

11 PANEL MEMBER HAMMOND: -- cobalt sulfate
12 particles. We're talking about a solution that has -- a
13 water droplet that has that in it too. So therefore, the
14 particle -- the particle size might be known if the
15 aerosolization of the nebulizer was known.

16 DR. DODGE: The particle size is between one and
17 three microns. That's what they -- they described it.

18 CHAIRPERSON ANASTASIO: All right. Thank you.
19 Let's take a break, five-minute break. So we'll
20 reassemble at 11:10. And then we'll go through the
21 comments relative quickly.

22 (Off record: 11:05 a.m.)

23 (Thereupon a recess was taken.)

24 (On record: 11:15 a.m.)

25 CHAIRPERSON ANASTASIO: Let's get started again.

1 Take it away, Daryn.

2 DR. DODGE: Okay.

3 --o0o--

4 DR. DODGE: Comments and responses. We got
5 comments from ToxStrategies, Cobalt Institute, and the
6 Cobalt Pigments -- I'm sorry the Color Pigments
7 Manufacturers Association.

8 --o0o--

9 DR. DODGE: These are just going to be the main
10 comments. There were a couple -- some minor comments that
11 I didn't include in the slides here. But they were all in
12 the -- our responses were to everything, to every comment.
13 And you'll -- you got that in the package that we sent out
14 a month ago. So I'm only covering the main ones here.

15 Okay. So I'll go on to the first comment.

16 ToxStrategies asked to clarify that cobalt
17 alloys, in addition to cobalt-tungsten hard metals should
18 be excluded from the cobalt and cobalt compounds
19 categories, in other words not included in the cancer
20 slope factors that we developed for cobalt compounds.

21 And we -- OEHHA agrees that cobalt alloys should
22 not be included in the cobalt cancer slope factor
23 categories. And we do say this in the document that
24 cobalt alloys have different chemical and physical
25 properties compared to the cobalt compounds in the NTP

1 studies in particular.

2 Some alloys are quite carcinogenic, For example,
3 cobalt-tungsten hard metals. And they would require a
4 different cancer potency factor than the ones we developed
5 specifically for the cobalt compounds in metal.

6 Other alloys -- cobalt alloys are insoluble in
7 weak acids and likely present no cancer risk. So again,
8 we did not include cobalt alloys, you know, with the
9 cancer slope factors we developed.

10 --o0o--

11 DR. DODGE: Comment number two. Water solubility
12 is a poor surrogate for solubility of metals under
13 physiological conditions. But we had three parts -- or
14 divided into three parts our response here.

15 Number one, solubility appears to play a role in
16 cobalt-induced lung cell genotoxicity and suggests soluble
17 and insoluble forms of cobalt. And they have different
18 cancer -- or carcinogenicity potentials. As I mentioned
19 earlier, the insoluble forms, such as cobalt metal, appear
20 to be quite more potent in producing cancer compared to
21 the soluble forms of cobalt salt -- cobalt compounds.

22 Point two here is categorization based on water
23 solubility works well under insoluble -- because insoluble
24 cobalt metal and compounds appear to be largely
25 internalized by cells as particles.

1 And point three, keeping the classification
2 information simple based on water solubility, whether it's
3 greater than or less than 100 milligrams per liter, is
4 adequate in determining which cobalt IUR, or cancer slope
5 factor, to use.

6 --o0o--

7 DR. DODGE: Next slide, comment number three.
8 Comparison of cobalt sulfate, heptahydrate cancer potency
9 to that of cobalt metal should be based on one content of
10 cobalt and cobalt sulfate heptahydrate, not the content of
11 cobalt sulfate. And point two here was NTP actually found
12 rodents were exposed to the hexahydrate not the
13 heptahydrate form of cobalt sulfate.

14 So regarding the first point, we corrected the
15 comparison of cobalt metal based on the content of cobalt,
16 cobalt sulfate heptahydrate. They're specifically
17 referring to a paragraph or two that I wrote in the cancer
18 hazard evaluation section, section four, where I made a
19 comparison between cobalt metal and cobalt sulfate. And
20 it actually should have been specifically to the cobalt
21 content in cobalt sulfate. So I corrected that.

22 In part two, already explained the problem with
23 the NTP study. They actually exposed the animals to the
24 hexahydrate. And this comment in particular, you know,
25 alerted to that -- alerted us to that. We hadn't caught

1 that, because it was only mentioned in a paragraph or two
2 in the NTP document.

3 But this only adjusted the cancer slope factor
4 slightly. It went from 2.8 to 3.0 milligrams per kilogram
5 day to the minus one.

6 --o0o--

7 DR. DODGE: Comment number four, this comment is
8 mainly by ToxStrategies here. Suh et al. converted the
9 two forms of cobalt to human equivalent concentrations
10 using the EPA RDDR method, which is regionally deposited
11 dose ratio and found the carcinogenicity or potency to be
12 similar.

13 Now, this is a graph from Suh et al. And what
14 ToxStrategies in particular is suggesting is that if you
15 connect the two blue lines, you could form a single line
16 through all of those blue points resulting in one cancer
17 slope factor that would -- can be used for both cobalt
18 sulfate heptahydrate and cobalt metal.

19 Likewise, you could combine the two black lines
20 there and come up with one slope. They didn't actually
21 plug this information into the U.S. EPA Benchmark Dose
22 Software. They're just saying it looks like it's -- you
23 could make one line out of the combined metal and sulfate
24 data. The cobalt metal data is the -- is up in the upper
25 right. And the cobalt sulfate heptahydrate data is in the

1 lower left-hand side.

2 It's -- you know, it looks like it's possible,
3 but we have to consider the fact that the metal is more --
4 is likely more potent carcinogen than the cobalt sulfate
5 heptahydrate. So if you draw a line specifically through
6 the data in the top right-hand corner there, the mouse and
7 rat data, you would get a steeper slope than drawing a
8 line through just simply the hexahydrate data in the lower
9 left.

10 --o0o--

11 DR. DODGE: And that's what I -- that was our
12 response here is that we're going to be health protective
13 and assume just like what the genotoxicity and lung cell
14 culture data tells us that there is definitely a higher
15 potential for cobalt metal to be more toxic, more potent
16 in terms of carcinogenicity compared to the soluble cobalt
17 compounds. So we would prefer to do slope factors
18 individually for cobalt sulfate heptahydrate and cobalt
19 metal.

20 --o0o--

21 DR. DODGE: Comment number five, OEHHA did not
22 use dosimetric adjustments appropriate for each tumor
23 site, which is inconsistent with U.S. EPA guidance and
24 ignores the importance of variable lung deposition by
25 particle size and species.

1 Our response is that because there is evidence of
2 systemic distribution of inhaled cobalt resulting in
3 systemic tumors, we used body weight scaling to convert to
4 human equivalence. This is a method used by OEHHA for
5 extrapolating from rodents to humans for cancer potency
6 derivations. Using this interspecies scaling factor is
7 preferred by OEHHA, because it assumes -- assumed to
8 account for not only pharmacokinetic differences but
9 pharmacodynamic considerations as well.

10 --o0o--

11 DR. DODGE: And comment number six, the latest
12 version of BMDS, or Benchmark Dose Software, 3.1 now
13 contains recommendations and warnings for model selection
14 of the BMR. A BMR of five percent for lung tumors in male
15 mice resulted in a questionable recommendation, because
16 the five percent response rate is not within the
17 observable range.

18 Now, we did go over this earlier. But they went
19 on to comment that the custom BNR -- BMR method is
20 recommended, which has been used previously by U.S. EPA in
21 2011. In U.S. EPA's method the custom BMR is calculated
22 as follows. And this is the equation they use to come up
23 with a different benchmark, or BMR value, to use.

24 This particular method when applied to the mouse
25 data resulted in BMR of 78 percent, which they say is

1 within the observable range.

2 --o0o--

3 DR. DODGE: Our response is that, as noted
4 earlier, OEHHA recommends using the exact formula when the
5 BMR five percent yields a BMD that is not within the
6 observable range. The U.S. EPA BMD version 3.1 software
7 shows that a BMR of 15 percent gives a "viable"
8 recommendation in the middle. And applying the exact
9 formula results in a CSF of 4.57 is -- and is the same
10 regardless of whether the BMR is five percent or 15
11 percent.

12 --o0o--

13 DR. DODGE: Now, to go on with the comment number
14 six here. In this graph, we show what a -- using a BMR of
15 15 percent looks like. A BMR of five percent would be a
16 little bit lower on the line, a little bit closer to that
17 control group there in the bottom left. But you get up to
18 15 percent, and now you get what's called a "viable"
19 recommendation rather than a "questionable"
20 recommendation.

21 --o0o--

22 DR. DODGE: Okay. And so ToxStrategies, in their
23 Suh et al. paper, recommended, you know, using this
24 so-called BMR custom method, which came out of a EPA
25 document. Using a BMR of 78 percent, which is -- comes

1 out of this so-called custom method equation, it shows a
2 BMD and BMDL that is in between the low- and mid-dose
3 group. And we really don't think that's health
4 protective. We're really interested in what's going on
5 between the control and the low-dose group.

6 So we don't think this method is appropriate. In
7 addition, the custom BMR method, as suggested by
8 ToxStrategies, came out of a 2011 document, as I noted
9 earlier. But this was actually an external review draft
10 document that had never been finalized.

11 --o0o--

12 DR. DODGE: Comment number seven, OEHHA modeled
13 pheochromocytomas in rats both independently and as part
14 of a combined analysis. There is evidence that
15 pheochromocytomas arise in inhalation studies where
16 hypoxia is induced as a consequence of exposure to
17 particulate producing lung lesions, including tumors.

18 Thus, it is unnecessary for pheochromocytomas to
19 serve as the basis of any cancer slope factor or IUR alone
20 or in combination when a more relevant cite of contact
21 tumor is present.

22 --o0o--

23 DR. DODGE: Our response was in two parts here.
24 Due to the lack of competence by NTP and other researchers
25 have for the cause of rat pheochromocytomas, OEHHA has

1 chosen a health protective approach by assuming that
2 pheochromocytomas arise independently from the lung cancer
3 and non-cancer effects.

4 And point two, a number of NTP carcinogenicity
5 studies observed pheochromocytomas resulting from a
6 carcinogenic chemical that was put in feed or administered
7 by gavage. And there was no pulmonary effects found in
8 these studies. Therefore, OEHHA cannot ignore the
9 possibility that inhaled cobalt metal and cobalt compounds
10 that are absorbed systemically and reach the adrenal
11 glands could be a direct cause of pheochromocytoma.

12 --o0o--

13 DR. DODGE: Comment number eight. Due to
14 increasing morbidity of the F344/NTac rat colony and the
15 lack of historical control data, the occurrence of
16 systemic tumors in the cobalt metal study in rats cannot
17 be conclusively interpreted. In other words, they wanted
18 this particular rat data thrown out.

19 We responded by saying NTP did not express
20 concern that the strain of rat used in the cobalt metal
21 study would affect the carcinogenicity incidence. Some
22 non-cancer endpoints may be affected, but not the cancer
23 endpoints.

24 And point two here is that OEHHA ultimately
25 derived a cancer potency factor for cobalt metal based on

1 the lung tumor data in male mice. So we didn't even use
2 this particular rat data.

3 --o0o--

4 DR. DODGE: In comment number nine, by the Cobalt
5 Institute, the combination of both cobalt compounds into
6 one dose response curve results in a very good model fit.
7 The indication that the model is able to predict exposure
8 responses at relatively low exposures. A detailed report
9 on benchmark dose modeling of the complete animal data set
10 is appended to these comments.

11 So what Cobalt Institute did is they actually ran
12 a dose response, or benchmark dose modeling, using the
13 combined cobalt metal and cobalt sulfate data and came up
14 with one -- well, one dose response curve for both the
15 metal and the sulfate. Hence, it resulted in one cancer
16 slope factor for both of these compounds combined.

17 The resulting BMDL value was 0.12. And so we
18 did -- we calculated the cancer slope factor or -- from
19 that. And that was a rodent cancer slope factor of 0.42
20 from their data. They chose a 90 percent confidence
21 interval bound around the BMD. Typically, we would use a
22 95 percent confidence interval around the BMD. So that
23 0.42 cancer slope factor should be actually a little bit
24 higher by our methodology.

25 But in any case, compared to the cancer slope

1 factors we came up separately for the metal and the
2 sulfate, that number they that the Cobalt Institute came
3 up with using Benchmark Dose Software isn't that much
4 different than our cancer slope factor we came up for the
5 sulfate which was 0.74 milligrams per kilogram day to the
6 minus one.

7 --o0o--

8 DR. DODGE: For cobalt metal, the slope factor
9 was actually -- was quite a bit higher, 4.57. Again, as
10 outlined earlier -- in our earlier response, the lung
11 tumor incidence slopes for cobalt metal appear to be
12 steeper than the lung tumor incidence slopes for cobalt
13 sulfate heptahydrate in both rats and mice. And we chose
14 to calculate cancer slope factors separately for the two
15 forms for cobalt.

16 --o0o--

17 DR. DODGE: Comment number ten. Cobalt compounds
18 such as cobalt oxide and cobalt sulfide have negligible
19 solubility of around one to two percent in biological
20 fluids, namely artificial alveolar or lysosomal lung
21 fluids. And they should not be grouped with cobalt metal
22 powder for endpoint inhalation toxicity. So they would
23 like these low solubility compounds thrown out and not
24 included in the cancer slope factors.

25 And our response is in two parts here. In lung

1 cell cultures, you can see up to 50 percent solubility of
2 cobalt oxide particles within cells. So using artificial
3 alveolar or lysosomal lung fluids may not mimic what's
4 going on in the cells very well.

5 In addition, a number of in vitro studies in the
6 lung cells observe genotoxicity and cytotoxicity resulting
7 from cobalt oxide exposure. Therefore, cobalt compounds
8 of low solubility are grouped with cobalt metal.

9 --o0o--

10 DR. DODGE: The final comment here that I have by
11 the Color Pigments Manufacturers Association, it is
12 inappropriate for OEHHA to categorize all compounds with
13 solubilities lower than 100 milligrams per liter as
14 essentially the same for inhalation risk assessment.
15 Complex inorganic color pigments, particularly cobalt
16 aluminum chrome spinel do not yield significant amounts of
17 bioavailable cobalts. They would like to have this
18 particular compound thrown out or not included in the
19 cancer slope factors we developed.

20 Our response is that OEHHA agrees with that
21 cobalt spinels should not be included in the cobalt cancer
22 potency factors. And we now say this in the document.
23 And the reason why is that calcining process at high
24 temperatures used to form these spinels, it's an
25 interdiffused crystalline matrix structure, which -- and

1 the process has similarity -- similarities to the alloying
2 process. So as I noted earlier, we do not include cobalt
3 alloys in these -- with these particular cancer slope
4 factors that we developed.

5 In addition, spinels have very low solubility,
6 even in lysosomal fluids. So we're talking about pretty
7 low levels of 0.089 percent.

8 And the final point here is that IARC concluded
9 there is currently inadequate evidence for carcinogenicity
10 of cobalt aluminum chromium spinels. We do not include
11 the spinels in our cancer slope factors.

12 All right. That's the end.

13 CHAIRPERSON ANASTASIO: Great. Thank you very
14 much, Daryn.

15 So what I'd like to do now is start with our
16 leads. I know we've already had quite and extensive
17 discussion, which is great. We would like try to finish
18 by noon, so let's try not to repeat ourselves from the
19 earlier discussion. But if we have items that are new,
20 let's talk about those.

21 Ahmad, would you like to start? Anything to add?

22 PANEL MEMBER BESARATINIA: Well, one thing I just
23 wanted to mention is that perhaps it was not covered in
24 this part of your presentation. It was regarding the way
25 that the genotoxicity mutagenicity of cobalt was presented

1 in this draft. And it appeared to me that it's not very
2 balanced considering the existing data that shows lack of
3 mutagenicity and genotoxicity of cobalt in mammalian
4 cells, as well bacterial systems.

5 And it's just -- sitting outside and looking in,
6 it appeared to me as if it is kind of going out of its way
7 to show the positive data. So perhaps a more balanced
8 presentation of the current data that are available in the
9 literature help to make it a fairer review.

10 DR. DODGE: I can -- yeah, I could do that. I
11 concentrated on the data that NTP generated in terms of
12 the Ames assay results. I figured that was the best data
13 that we had available for that type of assay.

14 Yeah, and I -- I only -- I only referred to --
15 you know, that there were other studies that were done in
16 the past, but I could, you know, add a few more of the
17 more recent ones.

18 PANEL MEMBER BESARATINIA: There is no question
19 that the carcinogenicity is out of the question. But you
20 don't need to demonstrate that it's mutagenic in order to
21 be carcinogenic, because more and more papers are coming
22 out showing a nongenotoxic mode of action for this
23 chemical, particularly the pathways involving alloys and
24 oxidative DNA damage. And even more recent papers show an
25 epigenetic mechanism involved. So perhaps that would help

1 the argument.

2 DR. DODGE: Okay.

3 CHAIRPERSON ANASTASIO: Thank you, Ahmad.

4 Joe.

5 PANEL MEMBER LANDOLPH: Yeah, I appreciate all
6 the effort you, John and -- you put into the document.
7 It's very well written, well organized. I'm just going to
8 skip over some of my comments.

9 And I like the nice concise summary of the animal
10 carcinogenicity bioassays. That was great data. And I
11 think you're absolutely right the differentiation between
12 the metal and the insoluble compounds versus the soluble
13 ones. I agree with you completely and I don't agree with
14 the reviewers that made the other comments, because that's
15 exactly the way nickel goes and chromium as well. There's
16 a big difference between the insolubles being phagocytosed
17 and having a greater carcinogenic effect compared to the
18 solubles. And with nickel -- soluble nickel, it just
19 doesn't work in animals. It comes out in urine, because
20 there's not biological receptor.

21 A little bit of soluble nickel gets in on the
22 iron transport carrier, but it's not enough to cause
23 carcinogenesis. So I think on your responses to number
24 two, and number four, and number 11, you're right on the
25 money. I would not budge on that. I think you're

1 Absolutely right.

2 Let's see, what else?

3 And I feel the pheochromocytoma data is a little
4 shaky. I think I'd stick with the lung alveolar benign
5 and malignant tumors. I think you're much better off.

6 The epidemiology you've gathered together. It
7 doesn't show very much. I think that's the way it is and
8 that's the way it will stay for quite awhile.

9 And your discussion of the genotoxicity was very
10 interesting, I thought, that you get comet assay increases
11 this the percentage of the tail, so that -- that was
12 pretty clear some type of damage was going on in the
13 oxygen radical damage and that you -- it altered base DNA
14 products, which were typical of hydroxyl radical attack.
15 That's very interesting and I think that's probably
16 important in the mechanism.

17 I agree with Ahmad for arsenic, nickel, and
18 chromium, Max Costa's lab has shown that in addition to
19 all the genotoxicity studies done, they're getting
20 epigenetic effects, changes in methylation histones and
21 how that affects gene expression also. Many of these
22 metals seem to have a bifurcated type of mechanism, two
23 mechanisms going on at the same time. So it's a
24 complicated mechanism, I'm sure.

25 But I think it's an excellent document based on a

1 thorough analysis of the carcinogenicity and genotoxicity
2 of cobalt metal and insoluble cobalt salts, and on the
3 carcinogenicity of the water soluble cobalt compounds
4 normalize the cobalt content.

5 So I thought the document was pretty good. And
6 you have the other comments we made with regard to the
7 slopes of the curves and all of that stuff. From the
8 transcript, you can get our comments from there.

9 I liked using a linearly fit models and comparing
10 it to the other one, something like that. I think the
11 document is terrific. It's very strong.

12 CHAIRPERSON ANASTASIO: Thank you, Joe.

13 I'd like to open it up to other Panel members.
14 Any additional comments?

15 PANEL MEMBER KLEINMAN: This is Mike.

16 Just following up on Dr. Hammond's comment
17 earlier, you don't -- you didn't have any hot spot actual
18 environmental measurements. Are there, you know, any data
19 that could be added to the report to give us an idea of
20 what the actual exposures are cobalt?

21 CHAIRPERSON ANASTASIO: Near hot spots?

22 PANEL MEMBER KLEINMAN: Yeah.

23 DR. BUDROE: We didn't find any. You know, if it
24 had been out there, we would have included it in the
25 document. So, I mean, we put everything in that we could

1 find.

2 PANEL MEMBER HAMMOND: Do we know what are the
3 hot spots in California for cobalt?

4 DR. BUDROE: Aerospace metal finishers, cement
5 kilns, some other combustion -- some other facilities that
6 use extensive material combustion. But I would say that
7 the two top of the list would be aerospace metal finishers
8 and cement kilns.

9 PANEL MEMBER HAMMOND: I would have thought there
10 might have been some sampling near cement kilns conducted.
11 I don't know that. I just --

12 DR. BUDROE: Well, it's the chicken and the egg
13 problem. They -- if they did sampling, they probably
14 didn't do -- include cobalt in the list of analytes
15 because it's not a problem.

16 CHAIRPERSON ANASTASIO: Other Panel comments?

17 PANEL MEMBER KLEINMAN: Well, I guess the other
18 piece of data that you do have though are the emissions
19 inventories, right, where you could at least identify
20 areas where there might be exposures.

21 DR. DODGE: Well, all we had was the regional
22 sort of exposures over urban areas, where it was clearly
23 higher -- you know, considerably higher when you compare
24 it to the rural or wilderness areas. That's the best we
25 could find.

1 CHAIRPERSON ANASTASIO: I mean, even in the
2 absence of hot spot measurements, those upper bounds that
3 you gave for some of the urban areas in Southern
4 California are above one in a million risk, based on your
5 IUR.

6 DR. DODGE: Yeah, that was noted by one of the
7 commenters.

8 CHAIRPERSON ANASTASIO: Yeah. So clearly, you
9 know, near a hot spot, you're going to have an issue.

10 PANEL MEMBER RITZ: Yeah. I would actually
11 recommend that you describe a little bit what you just
12 said in the introduction where the hot spots could be from
13 industry. And TRI data does not report cobalt, because
14 it's not a problem?

15 DR. BUDROE: Well, TRI data does report it, but
16 they -- you can't get it -- like a hot spot concentration
17 estimation out of it.

18 PANEL MEMBER RITZ: Yeah. No, that's not what I
19 meant. What I meant is maybe you can describe the TRI
20 facilities that are reporting on cobalt so you have an
21 idea of what industries those are.

22 DR. BUDROE: Right. Well, we can do that with
23 the ARB -- with the hot spots inventory data. So we could
24 make a mention of, you know, what types of facilities are
25 likely to produce cobalt emissions in California. And

1 maybe an estimation -- like a range of magnitude of how
2 much they're putting out. So we could do that. We could
3 add that to the document.

4 PANEL MEMBER RITZ: That would be very helpful.
5 Thanks.

6 PANEL MEMBER LANDOLPH: Yeah, I would agree with
7 that, because I was looking at your slide two quite a
8 while ago. And, you know, from the wilderness and rural
9 areas up to the high amounts of mean air concentrations in
10 the urban areas, that's 1,000- to 10,000-fold increase. I
11 mean, that's huge. That's enormous. I would certainly
12 agree with the other two reviewers to discuss it a little
13 bit.

14 DR. DODGE: Yeah. Those -- those higher
15 concentrations you see in urban areas. It's largely from
16 various combustion sources as you might expect.

17 PANEL MEMBER BLANC: Well, why would I expect
18 that there would be cobalt from combustion?

19 DR. DODGE: Just that -- you know, there's
20 various -- you know, some very small amounts, but there
21 are metals, for example, in diesel fuel. And you combust
22 diesel, it's going to release these metals. I mean, if
23 there's any coal sources, combustion in coal, you're going
24 to get metals put in in the air.

25 PANEL MEMBER BLANC: Oh, so you're -- so you're

1 saying that the predominant source of diffuse ambient air
2 pollution cobalt is likely to be fossil fuel used?

3 DR. DODGE: Various fossil fuels. Yeah, some
4 more than others. I -- you know, if you'd like, I could
5 probably go into that a little bit --

6 PANEL MEMBER BLANC: I mean, it's not in there --

7 DR. DODGE: -- as to why it's higher urban areas?

8 PANEL MEMBER BLANC: It's not in there now,
9 particularly or it's --

10 DR. DODGE: I'm sorry?

11 PANEL MEMBER BLANC: It's fairly obscure in the
12 document that a substantive proportion of ambient
13 low-level pollution as opposed to high-spot pollution is
14 likely to be from fossil fuel combustion as an actual
15 contaminant of fossil, if that's what you're saying?

16 DR. BUDROE: Well, it's -- that gets to be a
17 little harder to make that exact connection, because, for
18 example, cement kilns, we can't really say if -- for sure
19 nobody has actually done a study that we're aware of to
20 check to see is it the materials going into the cement
21 kiln, is it the combustion process itself?

22 We just know that cement kilns, you know, are one
23 of the leading emitters of cobalt. You know, exactly what
24 the pathway is for that happening, you know, we don't have
25 that information. There's other things like, for example,

1 motor vehicle traffic. There's a certain amount of cobalt
2 gets used in things like pistons, and piston rings, and
3 such that could be a contributor. But we're
4 hypothesizing. We don't have, like I say, a U.S. EPA
5 document that's looked into this and says, yes, this is
6 where -- you know, if you have urban air and you've got
7 this much cobalt, where is it coming from? So we're kind
8 of making educated hypotheses.

9 CHAIRPERSON ANASTASIO: Other comments?

10 Lisa.

11 PANEL MEMBER MILLER: Yeah I just had a quick
12 comment.

13 When I went through the document, and I may have
14 missed this, there wasn't much of a discussion on
15 susceptible populations of kids, right? And I realize
16 you're limited in terms of the data that you can draw
17 from. Was there -- was there any consideration of, for
18 example, in your calculations, of an increased respiratory
19 rate in children and how that might, in fact -- it just --
20 it goes back to potentially underestimating those.

21 DR. BUDROE: Well, that would -- we don't so much
22 consider that in the actual document that does the hazard
23 identification and the dose response analysis. But once
24 we develop a cancer unit risk, that will go into the hot
25 spots facility risk assessment software where we did --

1 you know, the Panel approved the guidance manual back in
2 2015, and that includes both tailored breathing rates by
3 age group and also the use of the age-specific factor. So
4 infants and children are expected to have a higher cancer
5 risk if they're exposed at young ages than adults.

6 So that's taken in consideration, but at a later
7 part of the risk assessment process.

8 PANEL MEMBER MILLER: Okay. Thank you.

9 CHAIRPERSON ANASTASIO: So I have a somewhat
10 related question. You know, if you take the unit risk
11 factor and convert it to an equivalent concentration that
12 would result in a one in a million risk, you get about 0.1
13 nanograms per cubic meter for cobalt metal and about one
14 nanogram per cubic meter for soluble cobalt.

15 So then the mean Southern California
16 concentrations are above that. So it seems that entire
17 Southern California is a hot spot for cobalt. And so what
18 do you do in that case? If most of your population is
19 being exposed at a level -- I mean, is one in a million
20 the level at which you start to worry about the risk or am
21 I not correct on that?

22 DR. BUDROE: Well, for example, South Coast AQMD
23 has -- requires risk notification at ten in a million. So
24 -- and I think risk reduction at 25 in a million.

25 CHAIRPERSON ANASTASIO: Risk reduction at 25 in a

1 million. Okay. So you're getting -- some of these are
2 going to be close to that, if it's cobalt metal.

3 DR. BUDROE: That's a -- I mean, it would -- it
4 would depend on where it was. If you had a cement kiln
5 out in Victorville and there's -- you know, out in the
6 middle of nowhere and there's nobody out there, it might
7 not. But if they were in say City of Industry with the
8 residential population, then yeah. You know, it's going
9 to be on a site-by-site basis.

10 CHAIRPERSON ANASTASIO: Right. No. What I'm
11 saying is that these mean air concentrations in urban
12 Southern California, it's close. You know, 25 in a
13 million would be 2.5 nanograms per cubic meter of cobalt
14 metal. So certainly some of these maximum levels, you're
15 going to -- it seems like you're going to have a lot of
16 hot spots, which would be interesting and hopefully
17 something we can do something about.

18 DR. BUDROE: Correct. Well, this will be -- this
19 will -- it will be interesting to see downstream as this
20 number gets adopted as to what effect it's going to have
21 on hot spots facility risk assessments.

22 CHAIRPERSON ANASTASIO: I have one other comment.
23 It was about the cobalt-tungsten carbide which appears to
24 be more carcinogenic. Is that something that is common in
25 California that we should be expecting emissions on that?

1 PANEL MEMBER BLANC: Yes, it's very common in
2 California.

3 CHAIRPERSON ANASTASIO: Oh, it is very common in
4 California.

5 PANEL MEMBER BLANC: It's ubiquitous, I would
6 say, in terms of any place where there's a hard metal
7 cutting blade used. That would include anywhere that has
8 industrial level saw blades or dental labs that have
9 tungsten-cobalt drills, or any other number of places,
10 which would be the one area that I wanted to ask you to be
11 a little bit more clear in the executive summary, which,
12 in fact, does not distinctly mention hard metal. It
13 refers to alloys.

14 In your presentation, you were clearer in your
15 first slide, but in the executive summary not clear that
16 that's being talked about. And since later suddenly in
17 the document, at a certain point, it says not only this is
18 more carcinogenic, but this was not covered in this
19 document.

20 I think it -- you know, and that's worthy of
21 being clarified in the executive summary, so that nobody
22 will be surprised on that.

23 DR. DODGE: (Nods head.)

24 PANEL MEMBER BLANC: And because technically
25 tungsten-carbide cobalt is not an alloy. In the

1 metallurgic sense, it's in this other category of
2 pseudo-alloys or whatever. It's not a true alloy, unlike
3 the steel cobalt alloys. So if you use the word alloy, it
4 wouldn't subsume tungsten-carbide

5 DR. DODGE: No, I didn't realize that they called
6 it a pseudo-alloy. I haven't seen that term.

7 PANEL MEMBER BLANC: Well, they don't call it.
8 I'm using that generically. No, that's my made-up term.

9 DR. DODGE: Okay.

10 PANEL MEMBER BLANC: It's not an alloy at all.
11 It's a something. They refer to it as a --

12 DR. DODGE: The process, as I understand it, they
13 heat it just enough so that --

14 PANEL MEMBER BLANC: It sticks together.

15 DR. DODGE: -- the dust particles stick together,
16 yeah. And when that happens, you get this different type
17 of process here.

18 PANEL MEMBER BLANC: Right. Conglomerate.
19 Right. I don't -- I actually have never been clear what
20 the technical term -- I mean, it's often made through a
21 centering process.

22 But anyway, that's too much detail, but it's not
23 -- it's actually a mentioned in the executive summary,
24 where alloys are mentioned, but not this.

25 DR. DODGE: Okay. I'll fix that.

1 PANEL MEMBER BLANC: And it should also say that
2 there won't be included here --

3 DR. DODGE: Right.

4 PANEL MEMBER BLANC: -- even though it's more
5 carcinogenic.

6 And I thought it was good that you at least
7 alluded to the severe lung disease that -- that that
8 substance causes. Actually, probably cobalt alone without
9 that can probably cause giant cell pneumonitis as well.
10 Again, I don't know if that's too much detail for you to
11 go into, because those cases where that disease occurs
12 with pure cobalt. You know, it's by far not as common.

13 DR. DODGE: I could mention that. I believe that
14 type of lung disease by cobalt -- caused by cobalt alone
15 is also considerably less than combined tungsten and
16 cobalt.

17 PANEL MEMBER BLANC: Yeah, yeah. It's not as --
18 it's much rarer, but -- and a lot of -- even a lot of
19 specialists don't realize, and think it can only be caused
20 by tungsten cobalt-carbide. And cobalt also is one of the
21 metals which potently can cause asthma. And since
22 insensitized workers. Again, I'd -- it's at your
23 discretion if you want to -- you have not talked much at
24 all about the other serious health effects of cobalt. And
25 I don't know if it's too much of a diversion to have one

1 or two sentences where you talk about it.

2 But, you know, cobalt is quite an interesting
3 toxic metal. And it's -- you know, its association in
4 metal-on-metal hip disintegration and severe cardiac
5 disease, as well as deafness. So it's ototoxic. It's
6 cardio toxic. It's an interesting substance. I don't
7 know --

8 DR. DODGE: That's getting a little bit outside
9 of what we're trying to do. You know, this is a cancer
10 document and --

11 PANEL MEMBER BLANC: I understand that. But you
12 do talk -- I think it is appropriate that you have --

13 DR. DODGE: Yeah.

14 PANEL MEMBER BLANC: -- a sentence or two that
15 tungsten carbide causes this other disease.

16 DR. DODGE: Yeah, we can do that.

17 PANEL MEMBER BLANC: So if you wanted to say just
18 cobalt has other non-carcinogenic serious human -- well
19 known human toxicities with one reference, it wouldn't be
20 a terrible thing to do, but it's completely your editorial
21 discretion. If there's enough reviews, you could just
22 cite one of the reviews or something.

23 DR. DODGE: Okay.

24 PANEL MEMBER BLANC: Okay. Because people who
25 read the document who know cobalt, you know, will have

1 that in their mind, because this was such high profile
2 stuff. And I grant you, it's not -- some of these effects
3 are by systemic absorption and not at all through
4 inhalation.

5 CHAIRPERSON ANASTASIO: Thank you, Paul.
6 Joe.

7 PANEL MEMBER LANDOLPH: Well, I was on the NTP
8 panel that dealt with cobalt tungsten carbide and it's
9 phagocytosed very well. And that undoubtedly contributes
10 to its carcinogenicity, you know, as a mechanism of
11 uptake. So it was notable how well it was phagocytosed
12 and how carcinogenic it was.

13 CHAIRPERSON ANASTASIO: Is cobalt tungsten
14 carbide on OEHHA's radar for a cancer potency factor?

15 DR. BUDROE: Not right now. I'm truthfully not
16 up to speed on what the -- if -- for example, if there's
17 any NTP study out there that we could use or not.

18 CHAIRPERSON ANASTASIO: Any other comments from
19 the Panel?

20 Yes, Stan.

21 PANEL MEMBER GLANTZ: Well, just for the record,
22 when I met with the OEHHA people yesterday, I found a few
23 spots in the document that I thought weren't clear and we
24 talked about how they could rewrite them to clarify some
25 things. There are no substantive changes. I just

1 wanted -- and they've got that.

2 But -- so I'd like to come back to my suggestion
3 that the alternative analysis with the slope -- you know,
4 the 15 percent and all that be dropped. I think you can
5 leave it in that the program said that there's concern
6 about the extrapolation. But then you can say that the
7 concern is that we're underestimating the risk.

8 But, I mean, the difference, as you pointed out,
9 between the direct estimate and the alternative is
10 trivial. And I think all you're doing is making it
11 unnecessarily complicated. So I really think that ought
12 to be deleted.

13 CHAIRPERSON ANASTASIO: So are you saying the
14 alternative treatment with the quote/unquote exact
15 calculation should be deleted?

16 PANEL MEMBER GLANTZ: No.

17 CHAIRPERSON ANASTASIO No.

18 PANEL MEMBER GLANTZ: It's not really an exact
19 calculation.

20 CHAIRPERSON ANASTASIO: Right, that's why I put
21 the quotes around it.

22 PANEL MEMBER GLANTZ: Yeah, that's what they
23 called it, but it's not. Again, it relies on the beta one
24 parameter estimate, which came out of the curve fitting
25 program, so all of the problems we talked about are

1 embedded in that. So I just think it's cleaner and more
2 defendable. And in the end, it doesn't make much
3 difference to just use the BMDL 0.05 that comes out of the
4 program and just say, you know, the program highlighted
5 that there's a lot of uncertainty, because the lowest
6 positive dose is pretty high, and the consensus is that
7 the uncertainty that's introduced means that we're almost
8 certainly underestimating the risk. And it might be a
9 substantial underestimate, but we don't know by how much
10 and just leave it at that.

11 I just think it would be a lot cleaner. And then
12 you don't have to get into an argument about why did you
13 pick 15 percent, for example? And the truth is, well, it
14 made the computer program happy, which we've all been
15 critical of. And so I really think that should just be
16 take -- I mean, it's a -- it will -- it will -- it's
17 always easy to hit the delete button, you know. We're
18 not -- I'm not actually adding anything. So I -- is
19 that -- are people okay with that?

20 CHAIRPERSON ANASTASIO: I think that's a
21 reasonable approach.

22 DR. DODGE: So what Stan is asking is that
23 essentially that we're going to take our animal cancer
24 slope factor of 4.57 and adjust it down to five percent
25 BMR, which only results in a reduction of -- from 4.57 to

1 4.46. And when we round it --

2 PANEL MEMBER GLANTZ: Yeah, or if you round it
3 off, they're both about 4.5.

4 DR. DODGE: Right. When you round it to a --
5 like in the end, round it to just one or two significant
6 factors or numbers.

7 PANEL MEMBER GLANTZ: Yeah, it's not going to --

8 DR. DODGE: It's going to be really hard to --
9 little or no difference.

10 PANEL MEMBER GLANTZ: Yeah, that's right, but you
11 just avoid one thing for people to -- like me to
12 criticize.

13 DR. BUDROE: That sounds entirely doable.

14 PANEL MEMBER GLANTZ: Okay. Well, then having
15 said that, I'd like to move that we accept the report
16 subject to the modifications the Panel suggested, and then
17 say that OEHHA can just give it to the Chair to review.
18 And then if the Chair thinks it's okay, then it's done.
19 If there are issues that the Chair thinks need to come
20 back to the Committee, then we can have another meeting on
21 it.

22 But I think -- I didn't hear any hugely serious
23 criticisms of the rest of it. I'd like to move that.

24 PANEL MEMBER KLEINMAN: I'll second that.

25 CHAIRPERSON ANASTASIO: Okay. All in favor?

1 (Ayes.)

2 CHAIRPERSON ANASTASIO: Do a raise of hands and
3 then I'll verbally --

4 (Hands raised.)

5 CHAIRPERSON ANASTASIO: Okay. So it's unanimous
6 in favor of the motion. So we will take care of it from
7 here. Thank you everyone.

8 We're going to take a break for lunch now. Reid
9 is going to bring in lunch. And Lisa has to go teach, so
10 we've bid her adieu. And I'd like to thank OEHHA for a
11 very nice document. And we will reassemble at 12:30.

12 (Off record: 12:06 p.m.)

13 (Thereupon a lunch break was taken.)

14

15

16

17

18

19

20

21

22

23

24

25

1 A F T E R N O O N S E S S I O N

2 (On record: 12:35 p.m.)

3 CHAIRPERSON ANASTASIO: All right, everyone,
4 welcome back. So our second major agenda item today is
5 review of the proposed changes to the chemical substances
6 list in Appendix A of the AB 2588 Air Toxics Hot Spots
7 Emissions Inventory Criteria and Guidelines Regulation.

8 Just a little background first. So under AB
9 2588, certain facilities are required to report their
10 emissions of specified toxic chemicals. The implementing
11 regulation, which is known as the Emission Inventory and
12 Criteria Guidelines Regulation, was last updated in 2007.
13 And the California Air Resources Board is considering
14 amending the regulation.

15 So Dave Edwards, the Assistant Division Chief of
16 the Air Resources Board's Air Quality Planning and Science
17 Division is going to provide us with an overview of the
18 regulation and a summary of the changes being considered
19 for the chemical list.

20 So I turn it over to Dave.

21 (Thereupon an overhead presentation was
22 presented as follows.)

23 --o0o--

24 AQPSD ASSISTANT DIVISION CHIEF EDWARDS: All
25 right. Great. Thanks, Cort, for the introduction.

1 All right. So for my presentation today, I'm
2 going to start with a general overview of the AB 2588 Hot
3 Spots Program that briefly goes over some of the key
4 points that we presented to you at the June 28th meeting.
5 We'll then move into the main topic for today's
6 discussion, which is your review of the list of chemicals
7 that we are proposing to add to appendix A of the
8 emissions inventory criteria and guidelines document.

9 I'll then provide a brief synopsis of the
10 substance selection process, go over a number of questions
11 that we would like you to consider for re -- and then go
12 over a number of questions we would like you to consider
13 for your review.

14 Lastly, I'll walk you through a number -- sorry,
15 through the proposed timeline, the opportunities for
16 public comment on the proposed list of new substances, and
17 the process we envision for documenting the results of
18 your review.

19 --o0o--

20 AQPSD ASSISTANT DIVISION CHIEF EDWARDS: All
21 right. So just to start with a little bit of background.
22 As you may recall, on June 28th of this year, CARB staff
23 made a presentation to you, in which we informed you about
24 our plans to update the Emissions Inventory and Criteria
25 Guidelines regulation.

1 In that presentation, we provided information
2 about the revisions that we were considering as part of
3 the regulatory update, and also discussed the statutory
4 requirements that guide the compilation and updating of
5 the Appendix A chemical list. We also made a request for
6 your assistance in reviewing the list of proposed new
7 substances.

8 --o0o--

9 AQPSD ASSISTANT DIVISION CHIEF EDWARDS: Next,
10 I'd like to go over some of the key points that we
11 previously discussed with you concerning the Air Toxics
12 Hot Spots Program.

13 As you may recall, the goals of the program are
14 to collect air toxic pollutant emissions data and make it
15 available to the public; identify facilities that may have
16 localized impacts; assess the risks to public health and
17 notify nearby residents about significant risks; and
18 reduce these risks to levels that are health protective.

19 One of CARB's responsibilities under this program
20 is to develop and maintain the Emission Inventory Criteria
21 and Guidelines regulation that provides direction to
22 facilities on how to compile and report their air toxics
23 emission data. A key piece of these guidelines is
24 Appendix A, which provides a list of chemical substances
25 that may pose chronic or acute health threats when present

1 in air and which must be reported as part of a facility's
2 emission inventory. Under the regulation, facilities are
3 required to report their emissions on a four-year cycle.

4 --o0o--

5 AQPSD ASSISTANT DIVISION CHIEF EDWARDS: In
6 Appendix A, the emissions inventory guidelines, chemicals
7 are grouped into three tables. Appendix A-I lists
8 substances for which emissions must be quantified in a
9 facilities emission inventory. These are substances with
10 the potential to present adverse impacts to public health
11 due to their toxicity and potential to be emitted to the
12 air from operations at California facilities.

13 Appendix A-II substances for which their
14 production use or other presence muss be reported. These
15 are substances with recognized health effects, but for
16 which the usage and potential to be emitted to the air in
17 California are less certain. Information on the
18 production and use of these substances helps CARB and
19 OEHHA staff better characterize their potential to become
20 an air pollutant that could create exposure to the public.

21 Then lastly, Appendix A-III lists substances that
22 are required to be reported only if they are being
23 manufactured in California by a facility subject to the
24 program. An example of the substance that may be assigned
25 to this table could be an oral pharmaceutical that would

1 not be expected to have airborne emissions of concern at
2 its point of use, but for which the manufacturing facility
3 could have the potential to release the material during
4 manufacturing and handling processes.

5 --o0o--

6 AQPSD ASSISTANT DIVISION CHIEF EDWARDS: For this
7 next part of the presentation, we'll present an overview
8 of the selection process for the new substances, go over
9 the documents that we did provide for your review, and
10 also walk you through the questions we would like you to
11 consider in your review.

12 So in the June 28th presentation, we briefed you
13 about the six source lists of chemicals that CARB staff
14 must consult for compiling an update in Appendix A of the
15 chemical list. These lists include: California's Toxic
16 Air Contaminant List; U.S. EPA's Hazardous Air Pollutants
17 List; the International Agency for Research on Cancer;
18 California's Prop 65 list; the list of the National
19 Toxicology Program, which is an interagency program within
20 the U.S. Department of Health and Human Services; the list
21 of California Department of Public Health's Hazard
22 Evaluation System and Information Service.

23 And also, the 2588 statute gives CARB specific
24 authority to include additional chemicals that may present
25 a chronic or acute threat to the public, but have not been

1 formally listed in the six sources mentioned earlier.

2 CARB staff, working closely with OEHHA and DPR,
3 evaluated over 1,300 new substances using the following
4 selection criteria:

5 --o0o--

6 AQPSD ASSISTANT DIVISION CHIEF EDWARDS: First,
7 the recognized toxicity under one of the six mandated
8 lists or under CARB's authority; and the substance can
9 become airborne and be present in California.

10 Our review resulted in 812 new substances being
11 proposed for addition to Appendix A, with 639 substances
12 being proposed for A-I, 11 for A-II, and 162 for A-III.

13 Also, through this process, staff did identify
14 548 substances that were deemed as not meeting the
15 selection criteria due to insufficient evidence for cancer
16 or non-cancer health effects or not being likely to become
17 airborne.

18 --o0o--

19 AQPSD ASSISTANT DIVISION CHIEF EDWARDS: After
20 the June meeting, CARB providing four documents to
21 facilitate your view of the propose -- your review of the
22 proposed new substances. The first was a document
23 intended to provide the necessary background and context
24 to understand the organization of the tables and selection
25 criteria for the proposed new chemical substances.

1 The second document was a copy of the existing
2 Appendix A list, which was intended to provide a reference
3 of the types of substances already regulated under the
4 program. This list contains substances in Appendices A-I
5 to A-III of the current regulation, which was last fully
6 revised in 1996 and only partly updated in 2007.

7 The third document, which was provided in both
8 Excel and PDF formats, was the mater list of new proposed
9 substances.

10 The last document consisted of several subsets of
11 the master list grouped into eight different categories,
12 requested in our June meeting. The categories are
13 carcinogens, developmental and reproductive toxicants,
14 pesticides, metals, other organics, pharmaceuticals,
15 neurotoxins, and other. The other category --

16 PANEL MEMBER GLANTZ: Can I ask a question?

17 AQPSD ASSISTANT DIVISION CHIEF EDWARDS: Yes. I
18 was just --

19 (Laughter.)

20 PANEL MEMBER GLANTZ: Well, so why -- and why
21 don't you -- I missed the earlier meeting. But why don't
22 you have pulmonary toxicants and cardiovascular toxicants
23 on the list?

24 AQPSD ASSISTANT DIVISION CHIEF EDWARDS: Yeah.
25 That is something additional we can consider. It's likely

1 in the other category at this point.

2 PANEL MEMBER HAMMOND: This is Kathy. Actually,
3 when I looked at -- since he brought that up, when I was
4 looking through the material, I found this way of laying
5 it out confusing, because part -- partially it's outcomes
6 and partially it's chemical categories. So it's kind of a
7 funny mix, frankly, if you follow me.

8 AQPSD ASSISTANT DIVISION CHIEF EDWARDS: Yeah.
9 And there is some overlap between the lists, so --

10 PANEL MEMBER HAMMOND: Right. Well, yeah, so I
11 would think there would have to be.

12 AQPSD ASSISTANT DIVISION CHIEF EDWARDS: Yes.

13 PANEL MEMBER HAMMOND: So in other words, going
14 to Stan's comment, we would -- there are a lot more
15 outcomes we care about than just those.

16 AQPSD ASSISTANT DIVISION CHIEF EDWARDS: Yes.
17 Yeah, and that's definitely understood. The list is
18 pretty expansive, so, yeah, there's -- there's a lot of
19 ways to slice and dice it.

20 All right. So to continue. The "Other"
21 Category, which is the roughly 300 or so chemicals does
22 have other categories within it, such as endocrine
23 disruptors; respiratory, eye, or skin irritants;
24 sensitizing agents and asthma triggers; persistent and
25 bioaccumulative toxics; and, also chemicals that are being

1 proposed as part of new or already existing chemical
2 groups such as isocyanates, polycyclic -- or polycyclic
3 aromatic hydrocarbons, and PAH derivatives.

4 --o0o--

5 AQPSD ASSISTANT DIVISION CHIEF EDWARDS: So to
6 kind of further go into the list a little bit of how the
7 magnitude of these substances are broken down by the
8 categories, as I just mentioned, you could see sort of the
9 breakout on the slide above. As I mentioned earlier, note
10 that some of these categories may overlap. So, for
11 example, a substance could be categorized as both a
12 pesticide and a developmental and reproductive toxicant.

13 --o0o--

14 AQPSD ASSISTANT DIVISION CHIEF EDWARDS: All
15 right. So at this point, I think it is important to
16 provide some context for this review. The AB 2588 statute
17 does not explicitly require the SRP to review new
18 chemicals for consideration under the program. However,
19 we do feel that this is an important step in our process,
20 because this list is an integral precursor to the work
21 OEHHA does and then you ultimately review and approve.

22 That said, this consultation is new territory for
23 everyone involved. Therefore, we have proposed --
24 prepared a number of questions that we hope will guide you
25 in your review of the proposed new chemicals.

1 The first question is - and I'll go in more
2 detail on the next couple slides about this as well - are
3 we missing any important air toxic chemicals from the
4 proposed list? Are the functional group characterizations
5 for emerging chemicals appropriate and adequate? Are
6 there other functional groups to add? Are there any
7 chemicals on the "Not Proposed for Inclusion List" that
8 should be included in one of the appendices?

9 --o0o--

10 AQPSD ASSISTANT DIVISION CHIEF EDWARDS: All
11 right. So back to the first question. As mentioned
12 earlier, the AB 2588 statute specifies six source lists
13 for CARB to review in compiling the list of Appendix A
14 chemicals, and also gives CARB explicit authority to
15 include other chemicals of concern. Several environmental
16 health experts have expressed concern to us that many new
17 chemicals are put into commercial use only to be later
18 found to pose significant public and environmental health
19 threats. They pointed out that it can be decades before
20 emerging chemicals can make it into one of the six lists
21 cited by the statute.

22 So they have urged CARB to take a more proactive
23 approach and include emerging chemicals in the AB 2588
24 list. An example of a data source that we reviewed for
25 emerging chemicals is the U.S. EPA's Significant New Use

1 Rules. In requesting this review, we are seeking your
2 guidance on whether there are additional chemicals or
3 chemical lists that we should consider adding to Appendix
4 A.

5 --o0o--

6 AQPSD ASSISTANT DIVISION CHIEF EDWARDS: Our
7 second question pertains to the use of functional groups
8 as the basis for adding new substances to the list. In
9 the past, chemicals were added to the list as individual
10 substances or as part of narrowly defined groups. In the
11 proposed new list, CARB staff have proposed three broad
12 functional group categories that include poly- and
13 perfluorinated chemicals; derivatives and substituted
14 versions of polycyclic aromatic hydrocarbons containing
15 any halogen atom, such as chlorine, bromine, fluorine, or
16 iodine; and any chemical containing the isocyanate
17 functional group.

18 We are proposing that any chemical containing
19 these functional groups should be listed in Appendix A-I
20 because we believe it can be reasonably expected that they
21 would have important health impacts.

22 We would like to get your opinion on this
23 proposal, and also on any additional broad functional
24 group categories that you may want to recommend for
25 inclusion in Appendix A.

1 --o0o--

2 AQPSD ASSISTANT DIVISION CHIEF EDWARDS: Our
3 third question is whether any of the chemicals on the "Not
4 Proposed for Inclusion" list should be included in one of
5 the Appendix A tables. In reviewing the candidate
6 chemicals, staff considered many factors that could
7 contribute to their potential for public health concern.

8 For example, we looked at the chemical structure
9 and other properties that can inform whether a substance
10 can become airborne. We also looked at special
11 considerations for heavier substances, such as how is the
12 substance being used and whether it can become airborne as
13 a result of its intended use or as by-product of a
14 physical or chemical process.

15 For example, a substance created as by-product of
16 combustion could become airborne even if it is not
17 volatile at room temperature. We would like to rely on
18 your expert opinions to make recommendations on any
19 chemicals currently not proposed for addition that should
20 be placed in one of the Appendix A tables.

21 --o0o--

22 AQPSD ASSISTANT DIVISION CHIEF EDWARDS: Now,
23 we'll focus a little bit on next steps and the process
24 that we're looking at.

25 --o0o--

1 AQPSD ASSISTANT DIVISION CHIEF EDWARDS: As
2 mentioned earlier, this consultation is new territory for
3 everyone involved, and the format in which the Panel would
4 convey the results of their review is not yet clearly
5 defined. We would like to get written recommendations, in
6 which you either express your scientific -- scientific
7 acceptance of the proposed new substances or provide
8 recommendations for additions or deletions to the proposed
9 list, and also provide guidance on the appropriateness of
10 using functional groups as the basis for listing groups of
11 substances.

12 --o0o--

13 AQPSD ASSISTANT DIVISION CHIEF EDWARDS: In order
14 to allow for adequate time for review of the proposed
15 revisions and proper consideration of public comments, we
16 are proposing a timeline that begins with today's Panel
17 discussion, and which continues with a webinar on November
18 20th. We anticipate that at some point after the November
19 20th webinar, and if necessary early next year at the
20 February meeting, the Panel might be ready to issue
21 preliminary recommendations.

22 Final recommendations would be issued in late
23 2020 or 2021 after we report back to the panel on the
24 outcome of our Board hearing on the regulation amendment.

25 --o0o--

1 AQPSD ASSISTANT DIVISION CHIEF EDWARDS: As for
2 the rulemaking schedule for the emissions inventory
3 criteria and guidelines amendment our aim is to start the
4 public workshops on the proposed updates in early 2020.
5 We anticipate taking the rulemaking package for our
6 Board's consideration by late 2020, and will report back
7 to you on any final changes to the proposed new chemical
8 list after our Board hearing.

9 --o0o--

10 AQPSD ASSISTANT DIVISION CHIEF EDWARDS: Public
11 comments on the proposed Appendix A chemical list will be
12 accepted as part of this review and the guidelines
13 regulation amendment process. The comment period for the
14 SRP review has been extended until November 8th, 2019.
15 And comments received by this deadline will be addressed
16 at the November 20th webinar. Comments received after
17 this comment period closes on November 8th will be
18 addressed as part of the guidelines regulation amendment
19 public process.

20 --o0o--

21 AQPSD ASSISTANT DIVISION CHIEF EDWARDS: Comments
22 on the proposed new Appendix a chemical list should be
23 emailed to Gabe Ruiz, who's manager of the Toxics
24 Inventory and Special Projects Section to my right or to
25 me at the email addresses shown on the screen.

1 --o0o--

2 AQPSD ASSISTANT DIVISION CHIEF EDWARDS: As I
3 conclude my presentation, I would like to put our
4 questions for you back on the screen to provide a starting
5 point for the ensuing discussion.

6 Thank you very much for your attention. And at
7 this point, we'd be happy to answer any questions you may
8 have for us.

9 CHAIRPERSON ANASTASIO: Great. Thank you very
10 much, Dave. The first one, clarification and correction.
11 The teleconference meeting we're going to have in November
12 is November 22nd, not the 20th. So SRP members put it in
13 your brain and on your calendar, it's the 22nd. It will
14 be in the morning. Jim has already sent out the email
15 with the day and time, but it's not the 20th.

16 Okay. Thank you very much, Dave, for that. I
17 just -- I open it up to the Panel, comments?

18 Joe.

19 PANEL MEMBER LANDOLPH: Well, initially, just let
20 me restrict my remarks to carcinogens. I teach this to
21 the graduate students every year. And there is a
22 million-fold variation in potency of carcinogens. So that
23 we don't bankrupt the State, I think, you know, you should
24 prioritize them in terms of those that already have cancer
25 slope factors move them up to the top.

1 And, for instance, dibenzo[a,l]pyrene is more
2 than 100 times more active than benzo[a]pyrene, which is
3 already extremely active. So that kind of stuff you want
4 to move up to the top, if you can.

5 AQPSD ASSISTANT DIVISION CHIEF EDWARDS: Great.
6 Thank you.

7 CHAIRPERSON ANASTASIO: Let me just clarify on
8 that. I mean, the purpose of this list is to require
9 facilities to report their emissions of these substances,
10 right?

11 AQPSD ASSISTANT DIVISION CHIEF EDWARDS: (Nods
12 head.)

13 CHAIRPERSON ANASTASIO: OEHHA will make the
14 determination what's the order in which substances will be
15 tackled for whether it's a REL or a cancer potency factor.
16 So we just want to make sure that things that make it onto
17 this list are substances that we should be concerned
18 about. And then OEHHA will do the prioritization of the
19 order in which they get addressed.

20 AQPSD ASSISTANT DIVISION CHIEF EDWARDS: Yes,
21 yeah.

22 PANEL MEMBER BLANC: So a question that would
23 help us inform our input for you. The earlier slide which
24 had the table of the new things on the list summarized by
25 category was one of your earlier slides.

1 Yeah. So has the -- these are new, so these are
2 not ones that are already toxic air contaminants, correct?
3 I just want to make sure I got that part of it.

4 AQPSD ASSISTANT DIVISION CHIEF EDWARDS: Correct,
5 yes. These would be new proposed.

6 PANEL MEMBER BLANC: These are the new.

7 AQPSD ASSISTANT DIVISION CHIEF EDWARDS: Yes.

8 PANEL MEMBER BLANC: It would -- if these -- if
9 the old ones, the existing ones, have already been
10 similarly categorized or if they could be similarly
11 categorized, it would rather interesting to see
12 proportionally where are you adding more? Because this --
13 one -- you know, I suspect the reason there are very few
14 metals on this list is because there are great many metals
15 that are already regulated, for example.

16 But the neurotoxins, there may be relatively
17 fewer proportionally that are already regulated. So would
18 it help you see what the impact of this list is, in terms
19 of how would it be changing the mix? Although, I will say
20 that I absolutely agree with what was said earlier is it's
21 rather confusing. Those of us who like Venn Diagrams in
22 our heads, you know, we see these groups and it's sort of
23 mind-boggling, because it's, you know, apples and oranges.
24 An so it's -- it is hard to grasp some of it, right? I
25 mean, most of the metals are neurotoxins, so I guess

1 they're in both categories, you know, that kind of thing.

2 So I just -- for what it's worth, some of this is
3 based on human health effects and some of it's based on
4 substance category. I mean you can imagine if you had
5 something up there that was chlorinated hydrocarbon as a
6 category how confusing it would be. So I'm not, you
7 know -- I'm not convinced that this is necessarily -- this
8 many groups is helpful. I understand why you want
9 developmental and reproductive toxicants, because that has
10 certain regulatory driving effects, as does the
11 carcinogens.

12 But once you get to other things, I'm not
13 entirely convinced. But anyway, just as -- I don't want
14 to overplay that. But I would say it would be nice to see
15 side by side, because it's -- you know, you -- if we look
16 at a table like this, we have to bear in mind constantly,
17 well, what's already listed? So it's not here, because
18 it's not listed, not because -- it's not here because it's
19 already listed, I'm sorry, not because you forgot about it
20 or something, right?

21 AQPSD ASSISTANT DIVISION CHIEF EDWARDS: Yeah.
22 So we can definitely provide that to you, the breakout of
23 the existing list and then sort of overlay that with this
24 proposed list, so you can sort of see side by side. For
25 example, metals, the number really is much larger based on

1 the existing list as well.

2 And then I'll also turn it over to Beth to kind
3 of maybe give a little bit more detail. I'm sure she can
4 talk a little bit about how that looks currently just.

5 AQPSD STAFF AIR POLLUTION SPECIALIST SCHWEHR:

6 Yes. Thanks.

7 You're absolutely right. There are -- almost all
8 of the typical metals are on the list already. In fact,
9 we have cobalt, for example, as a single entry so far, but
10 we will by expanding it in this round to more closely
11 match the health values in the way they're structure, that
12 it was just approved this morning.

13 But the other thing is just the categorization
14 was -- is not something that we routinely do. It was
15 actually kind of an outcome of the last meeting that we
16 had with you folks in June. There was interest in saying
17 we had it so that you could easily find which source list
18 it came from. But there was interest, in at least several
19 of these were named by folks that said, well, if I were
20 going to kind of focus on my area of expertise on the
21 list, I'd like it broken out by these. So we tried to
22 just kind of follow the suggestions that were made.

23 And, yes, there are many other types of health
24 effects that could have been broken out. But I think
25 these were the ones that we heard from the panelists that

1 were maybe in -- areas of specific interest to someone, so
2 we tried to do that.

3 But, yes, as Dave said, we could certainly follow
4 that same pattern and apply it to some of the existing
5 list chemicals as well.

6 PANEL MEMBER HAMMOND: Kathy.

7 As a chemist, I have to say don't we want to have
8 other organics on the list. I mean, it's just an area
9 that we need to -- now, I'm sure that organics are
10 represented in the outcomes, but there might be organic
11 chemicals that are suspect, so just kind of in a sense of
12 completeness. So then at least that way we've kind of at
13 least accounted for the chemicals, the sources, but -- and
14 then from the other side, the -- there are these other
15 health outcomes.

16 I mean, I think the point really isn't even -- I
17 mean the categorization is -- is this really how they came
18 to your attention? So, of course, we have these various
19 carcinogen lists. And so that's how they come to be there
20 as carcinogens, but they might -- they're either inorganic
21 or organic, right?

22 And similarly, we now have Prop 65, so that also
23 gives us the developmental and reproductive toxicants. So
24 those are the kinds of things -- so we should recognize
25 that. But meanwhile, it certainly should be true, if we

1 knew of pull -- we knew the pulmonary outcome led us to
2 think of compounds. I think it would be good to think of
3 the general outcomes we think of and as another way to try
4 to be collecting.

5 In terms of the sources of data, just in terms of
6 getting a -- making sure we have a complete list of what's
7 known, another simple one, and maybe everything is already
8 covered, but just to say have you looked at the ACGIH
9 threshold limit values? I would at least want to make
10 sure that we've included them all. They may -- they're
11 like 650 or so of those. But it's just a list of if
12 they've got -- if they've identified it, we probably want
13 to include it.

14 PANEL MEMBER GLANTZ: Well, so I -- I miss -- I
15 wasn't at the earlier meetings I mentioned. And when I
16 tried to look this over, I was like totally overwhelmed.

17 (Laughter.)

18 PANEL MEMBER GLANTZ: But you know one thing you
19 might want to do, because the master list is in an Excel
20 spreadsheet. And you might want to have us add a couple
21 columns, like one is outcome, one is chemical class, and
22 one is source of where you got it. And then if you have
23 that in your master list, then you could generate the
24 three tables, one where you stratify it on each of those
25 things. And then the person, depending if you're like a

1 biologically oriented person or a chemist, then you just
2 look at the different lists. So that might help make it a
3 little less scary.

4 PANEL MEMBER BESARATINIA: Yeah. I'm just
5 wondering about how practical it is to require reporting
6 of some of these chemicals or substances that have been
7 identified in Appendix A-I. For example, environmental
8 tobacco smoke or secondhand smoke this is a complex
9 mixture of several thousand chemical containing toxicant
10 and carcinogens. So each one has a different type of
11 effect.

12 So in these cases, are you going to measure the
13 prototype or a representative compound from this whole
14 complex to use it as an index? It's kind of confusing to
15 me how that is going to be done.

16 And the second point is that -- well, by
17 definition every smoker can be a source of ETS or
18 secondhand smoke. What -- are you going to like narrow it
19 down to establishment where smoking is allowed, for
20 example, casino or -- it's kind of confusing.

21 AQPSD STAFF AIR POLLUTION SPECIALIST SCHWEHR:

22 Okay. Let me provide a little context. So this
23 list is a part of the Air Toxic Hot Spots Program, AB
24 2588. And the program is focused on facilities,
25 industrial facilities and commercial type facilities, that

1 are -- the first step is to determine whether they are
2 subject to that program at all.

3 So there is a set of applicability criteria that
4 are applied to that facility. And so generally speaking,
5 we're talking about emissions from large industrial type
6 sources, some smaller things. You can have smaller gas
7 stations, auto body shops can also be sources without
8 being large industrial type of application.

9 But the first step is determining that they are,
10 in fact, subject to the Hot Spots Program. And then we
11 also have exemptions in -- built into the emission
12 inventory regulation that this is a part of. And those
13 exemptions are for like personal use by their employees of
14 products, for example. So generally speaking, the smoking
15 that their employees might do is not part of what was
16 intended to be covered by the statute.

17 So the statute is pretty clear. It starts with
18 large facilities that emit a lot of criteria pollutants.
19 It steps its way down from a 25 ton facility to a 10 ton
20 facilities. And then it asked ARB to identify other
21 classes of smaller facilities that should be a part of
22 this program.

23 So that's where we identified things like
24 gasoline stations and auto body shops, and dry cleaners,
25 and small chrome platers, things likes that. But that is

1 the first step, they have to actually be a facility
2 subject to the program before they would even address
3 this.

4 So as it turns out, the main reason why you'd
5 ever have a situation with say environmental tobacco smoke
6 or tobacco smoke at all, we did recognize there are a few
7 facilities that actually do testing and actually have
8 smoking machines. So in a case like that, they might
9 actually have to report these emission. But by and large,
10 most of the other situations would probably be covered by
11 one of these personal use type exemptions and would not be
12 something that the facility would be trying to quantify.

13 PANEL MEMBER BESARATINIA: What would they
14 report? What would be the unit of measure in this case?

15 AQPSD STAFF AIR POLLUTION SPECIALIST SCHWEHR: I
16 don't know that one has come up yet for sure. I don't
17 know that one of these facilities that we had sort of
18 heard about has actually come subject to the program. It
19 would be in pounds basically, pounds of that substance.
20 It would not necessarily then be speciated. We could do
21 that as another step. We could try to break it out using
22 existing literature. But at this point, if they would
23 just report the pounds of that substance, they would have
24 met their reporting requirement. And then we would think
25 about what we needed to do or what would -- what we would

1 like to do with that data in terms of a further breakdown
2 into components.

3 PANEL MEMBER BESARATINIA: Thank you.

4 PANEL MEMBER KLEINMAN: Just going down your
5 list, I noticed that you have several of the carbonyl type
6 compounds that are currently very popular flavors for
7 vaping, benzaldehyde, diacetyl. And I would suggest that
8 you might want to bundle some of the -- you know, those up
9 as you start to consider whether you want to look at
10 potential risks from these things.

11 That may be a -- you know, another way to
12 categorize, so cinnamaldehyde, vanillin, benzaldehyde,
13 diacetyl. I saw a couple of others. You've already
14 earmarked them. But maybe if you look at them as a group,
15 there may be a large aggregate.

16 CHAIRPERSON ANASTASIO: Do Panel members have any
17 answers to Dave's first question? Are they missing any
18 important toxic chemicals from the proposed list? Are
19 there chemicals that people -- maybe some of you favor
20 toxicants you checked, you noticed they're not the list.
21 Anything in category one?

22 PANEL MEMBER BLANC: One of the problems is kind
23 of what I was alluding to, which is we'd have to have at
24 our fingertips what's already listed.

25 CHAIRPERSON ANASTASIO: Well, so Dave did send --

1 PANEL MEMBER BLANC: No, I understand, but it's
2 not -- you know, I mean, I focused on this list not on the
3 list of, you know, the -- what is it 600 or how many you
4 have currently listed?

5 CHAIRPERSON ANASTASIO: Right. Yeah.

6 PANEL MEMBER BLANC: So that's one problem.

7 But -- so it may not be something that, you know,
8 efficiently can be done sitting here in front of you, you
9 know, what -- what is missing. But I think you've heard a
10 couple of good suggestions. Kathy said, you know, look at
11 the ACGIH list and just make sure that there's nothing
12 missing from there. Look at the NIOSH handbook of
13 chemicals. These are workplace ones, but still gives you
14 a sense. Most -- ACGIH is actually more comprehensive
15 than the NIOSH list. But I doubt there's anything on the
16 NIOSH list that's missing from the ACGIH, but I can't say
17 that for sure.

18 You might also look, there's a -- it would be a
19 useful table to you that's in the Olson Toxicology
20 Handbook. Kent Olson has a very large table of toxic
21 materials. Now, many of those are not airborne. It
22 includes, you know, other things that would be irrelevant,
23 but it's -- the industrial chemicals there would be a good
24 place for you to look and make sure that you, for example,
25 got -- well, a technical question. Since you're

1 considering -- and this addresses one of your other
2 questions. You're going to take a sort of group approach
3 to isocyanate variance. So you're not going to
4 necessarily have to list everyone of them individually, is
5 that the idea there?

6 AQPSD ASSISTANT DIVISION CHIEF EDWARDS: Yeah,
7 that's the idea there.

8 PANEL MEMBER BLANC: Yeah, I think that's a -- if
9 you want my feedback, that's a clever idea. You might
10 also consider the parallel reactive chemicals that are in
11 epoxy mixes, which are called -- what's the best way of
12 summarizing those? In the epoxies, the -- but you know
13 there are a bunch of different --

14 AQPSD STAFF AIR POLLUTION SPECIALIST SCHWEHR:
15 Like the resin monomers, is that what you're
16 getting at or...

17 PANEL MEMBER BLANC: Well, it's the ones that
18 react with them, acetyls or something, I don't know.

19 Yeah. Those are a couple of examples.

20 PANEL MEMBER HAMMOND: I mean, I totally agree
21 with the concept there. But, you know, the epoxide -- the
22 various epoxide and isocyanate compounds, which by the
23 fact that they're reactive in terms of chemically for the
24 purposes of an industrial purpose, they're also very
25 reactive with human tissue.

1 PANEL MEMBER BLANC: So, for example, today, this
2 morning, we had this -- I notice you put a bunch of cobalt
3 compounds, even though cobalt is already listed as just
4 cobalt metal, right? That's correct?

5 So would it save time -- I mean, is your --
6 couldn't you take the same approach then with metals that
7 have various salts and various organic things that you
8 didn't have to like list five different cobalt
9 subspecies --

10 PANEL MEMBER HAMMOND: I think we need to be
11 careful with that, because as they -- we saw in cobalt,
12 there was a huge difference in the toxicity.

13 PANEL MEMBER BLANC: Well, they could say --

14 PANEL MEMBER HAMMOND: And certainly nickel
15 that's true of as well.

16 PANEL MEMBER BLANC: They could say -- they could
17 say soluble cobalts and -- and so, I mean, I don't -- I'm
18 just saying because you're going to miss, right? There
19 are going to be other ones that you're -- so you're either
20 going to have to clutter up your list with lots and lots
21 or if there's a way -- if there's shorthand.

22 But anyway, that wasn't what I was about to say.
23 What I was going to say is this morning at our discussion,
24 it came out that tungsten cobalt --

25 AQPSD STAFF AIR POLLUTION SPECIALIST SCHWEHR:

1 Yes, we heard that.

2 PANEL MEMBER BLANC: -- tungsten carbide cobalt,
3 or a.k.a. hard metal, which is more carcinogenic than
4 cobalt -- unless that's already listed as a TAC, which I
5 think it isn't?

6 AQPSD STAFF AIR POLLUTION SPECIALIST SCHWEHR: We
7 heard that and we will be considering adding that.

8 PANE MEMBER BLANC: It's not on this list.
9 That's an example.

10 AQPSD STAFF AIR POLLUTION SPECIALIST SCHWEHR:
11 It's not there yet. We heard it this morning.

12 PANEL MEMBER BLANC: Okay.

13 AQPSD STAFF AIR POLLUTION SPECIALIST SCHWEHR:

14 May I comment just a little bit on that? So
15 we're walking kind of a balance here between saying if we
16 know about specific compounds that are in commerce now, it
17 is sometimes an advantage to list them explicitly, even if
18 it means kind of expanding under a group, because if we
19 can include their chemical abstracts registry number,
20 their CAS number, that facilitates an industrial source
21 who might be looking through their material safety data
22 sheets realizing that, yes, that is a listed chemical.
23 And if we don't do that, we run the risk that someone
24 who's maybe, you know, a technician at that facility
25 doesn't have the chemistry background, doesn't realize

1 that this thing that they see on the MSDS that has a
2 slightly different name, really is a part of that group.

3 So what we have been -- this balance that we've
4 tried to strike in the past has been that when we are
5 aware of fairly commonly used explicit ones, we would try
6 to put them on the list and include that CAS number,
7 because it makes easier. We provide that list
8 electronically. People can go through -- an industrial
9 facility can go through that list electronically, if they
10 would like to.

11 But then the balance is that that means that if
12 something is emerging, we might not have it yet and we'd
13 have to go through a regulatory process. So that's the
14 purpose of these three functional groups. We are saying
15 that probably anything that contains those chemical
16 functional groups, there's a reasonable probability that
17 they would be having human toxicity concerns. And so we
18 feel that that whole group could be considered as a new
19 class. And then it's up to the facility to tell us a
20 little bit more about what those chemicals are, rather
21 than us having to already have figured out every single
22 one. Does that -- does that answer your question?

23 PANEL MEMBER BLANC: That sounds great.

24 PANEL MEMBER KLEINMAN: This is more for my
25 edification. But I was looking at the list of not

1 proposed and I noted that you had wood dust listed. And
2 it's indicated wood dust is a IARC 1 carcinogen. And it
3 says should just report particulates. And I think that
4 may be an oversimplification, because if I remember right,
5 not all wood dust is a carcinogen. But some of that which
6 is not a carcinogen is a very strong allergen and is
7 certainly related to occupational asthma in the
8 woodworking industry. So I think that might be something
9 that could be looked at with a little more specificity.

10 AQPSD STAFF AIR POLLUTION SPECIALIST SCHWEHR:

11 We've had similar discussions with our colleagues
12 at OEHHA and tried to grapple with that same kind of
13 question of where -- where would we go with this in terms
14 of whatever -- would a health value ever be adopted for a
15 thing called wood dust? So it's a challenge. And any
16 guidance you have on that would be definitely appreciated.

17 PANEL MEMBER KLEINMAN: Yeah. The ACGIH TLV
18 Committee went into this in great detail. And I might be
19 able to put you in contact with those folks.

20 MS. SCHWEHR: Great. Thank you.

21 CHAIRPERSON ANASTASIO: Beate.

22 PANEL MEMBER RITZ: I just want to make you aware
23 that there is a big push right now to generate exposome
24 data. And just in September, there was actually a
25 publication in Environmental Health Perspectives by

1 Barupal and Fiehn - Oliver Fiehn from I think UC Davis -
2 on all of the chemicals that they were able to cross-link
3 between different databases, including PubMed articles.
4 And that might actually be a great resource to just check
5 against. Because if it ends up in the blood, they look at
6 the blood exposome. We know that people are exposed,
7 right?

8 Maybe -- maybe it's not a health effect, but they
9 are linking all sorts of databases and you could at least
10 us it as a tool.

11 AQPSD STAFF AIR POLLUTION SPECIALIST SCHWEHR:

12 Great. Thank you.

13 PANEL MEMBER LANDOLPH: For carcinogens, I would
14 certainly recommend taking all the IARC Class 1
15 carcinogens, which are known human carcinogens, and the
16 Class 2, which are probable human car -- 2A, which are
17 human -- probable human carcinogens. And a lot of these
18 have been picked up on the Proposition 65 list. OEHHA
19 knows all about this already, the CIC, Carcinogen
20 Identification Committee.

21 AQPSD STAFF AIR POLLUTION SPECIALIST SCHWEHR:

22 Thank you. Yes, we've tried to make sure that
23 all the Class 1s and 2As are somewhere on the list. In
24 some cases, they didn't end up on Appendix A-I to be
25 quantified, because they may not have met that second

1 criteria of whether they're likely to become airborne.

2 Some of them, for example, are oral
3 pharmaceuticals. And so that's where we tried to put them
4 into some place like Appendix A-III, where if you are a
5 manufacturing facility, you're handling as you're making
6 it, might result in some fugitive emissions. So a
7 manufacturing -- manufacturer of that pharmaceutical could
8 be subject. But if you are just using that pharmaceutical
9 at the point of end use, and it's a pill or something like
10 that, it's not -- or an injectable -- there's even some of
11 those in the IARC Group 1s -- we wouldn't necessarily want
12 that on the Appendix A-I for an industrial facility to try
13 to quantify.

14 PANEL MEMBER HAMMOND: Kathy.

15 I'm going to propose -- or I'll ask a -- the
16 question first. But to what degree does ARB, stepping
17 back, actually do any sampling to do any kind of
18 validation of the emissions data that they've received
19 from the facilities? And my guess is probably not very
20 much.

21 AQPSD STAFF AIR POLLUTION SPECIALIST SCHWEHR: So
22 as a part of --

23 PANEL MEMBER HAMMOND: I have a follow-up to
24 that.

25 AQPSD STAFF AIR POLLUTION SPECIALIST SCHWEHR:

1 Okay. As a part of the emission inventory
2 guidelines, of which Appendix A is one of the appendices,
3 there is another appendix, Appendix D, as in dog, that is
4 a list of source types for which we are actually requiring
5 source testing, airborne source testing to be done.

6 PANEL MEMBER HAMMOND: By the company and where?

7 AQPSD STAFF AIR POLLUTION SPECIALIST SCHWEHR: By
8 the company.

9 PANEL MEMBER HAMMOND: Where is the testing? Is
10 it stack testing --

11 AQPSD STAFF AIR POLLUTION SPECIALIST SCHWEHR:

12 Yes. Usually, it's --

13 PANEL MEMBER HAMMOND: -- or fence line or --

14 AQPSD STAFF AIR POLLUTION SPECIALIST SCHWEHR:

15 It's usually a stack type of test. Yeah. So for
16 example, there would be -- the catalytic cracker at a
17 refinery is subject to a source test --

18 PANEL MEMBER HAMMOND: Um-hmm.

19 AQPSD STAFF AIR POLLUTION SPECIALIST SCHWEHR:

20 -- because we don't think there's really any
21 other way to get reliable quantitative data. So at the
22 beginning of the Hot Spots Program way back in the late
23 80s, those tests were conducted. And then ARB collected
24 that data and developed emission factors based on that
25 actual source testing, so that is now a pool of resources

1 that other facilities might be able to use if they're
2 similar enough.

3 But, yes, in some cases, we actually said source
4 testing is probably the only reliable method to quantify
5 some of these.

6 PANEL MEMBER HAMMOND: So I guess I think it's
7 important as we go forward -- I mean, just making longer
8 lists of chemicals -- I mean, first of all, I do want to
9 acknowledge that this is a lot of work and I'm
10 appreciative that you're doing it. Let me be clear.

11 But I think we also need to think about how that
12 would be used. And so making sure -- rethinking again,
13 maybe it's time to retest with all the various air
14 pollution devices. It may be time to retest, because
15 there are new chemicals that we're talking about, which
16 may be bringing in new facilities. And maybe at a certain
17 level, you know, X percent, three percent even, something
18 like that, some percent that ARB does some testing to
19 validate what the companies have done and maybe some also
20 community level testing to see what's actually making it
21 into the -- I mean, I think you're right, stack testing
22 tells you something about emissions. But also then going
23 out and seeing what's -- what makes it to the fence line
24 or to the community. But I think that we need to take a
25 more holistic view of this whole process.

1 AQPSD ASSISTANT DIVISION CHIEF EDWARDS: Just one
2 thing to add on that, the sort of that -- the audit
3 capabilities. I'm not sure how much it exists in the Hot
4 Spots Program, but our new reporting regulation - I think
5 I talked to you guys a couple -- maybe six months ago on
6 that, our criteria on toxics reporting regulation to get
7 annual data on this as opposed to the every four year,
8 which is limited in the Hot Spots Program, there is a sort
9 of audit verification component, where we could go in --
10 where we do at least have the authority that was in the
11 Health and Safety Code to go and evaluate whether they
12 criteria on toxics data that was submitted by a facility
13 was accurate.

14 So that capability does exist. We haven't
15 explored that a lot yet. I mean, we're still working on
16 just trying to get applicability, like who has to report
17 in, but that it --

18 PANEL MEMBER HAMMOND: I mean, you have that
19 authority now you're saying or you haven't explored
20 whether to get --

21 AQPSD ASSISTANT DIVISION CHIEF EDWARDS: Just
22 came in like two years ago.

23 PANEL MEMBER HAMMOND: Oh, you just got it, so
24 you haven't actually exercised that yet?

25 AQPSD ASSISTANT DIVISION CHIEF EDWARDS: Correct,

1 yeah.

2 PANEL MEMBER HAMMOND: Yeah, I just -- I think
3 making this part of the planning would be an important
4 piece, that's all. But that sounds like it is partly.

5 AQPSD ASSISTANT DIVISION CHIEF EDWARDS: Yes.

6 CHAIRPERSON ANASTASIO: Any other comments
7 related to Dave's first question, any missing important
8 air toxics?

9 Yes, Mike.

10 PANEL MEMBER KLEINMAN: You may have done this,
11 but I'm wondering if you've cross-referenced the AB 617
12 locations and the con -- and the emissions inventories
13 that were used to help select the cities that are involved
14 or the communities that are involved. And that may -- you
15 know, if there was any of those that you're missing on
16 your list, that would be useful to have. Also, it might
17 be a strategy for which ones you want to look at first.

18 AQPSD STAFF AIR POLLUTION SPECIALIST SCHWEHR:

19 Yes. I think to the extent that most of those
20 inventories we're relying on the 2588 data from before, we
21 have done that. And then I think one of the comments that
22 came up last time was a great suggestion that we ask if
23 there were anything else in those communities that we did
24 not yet have, and we went through that process, and we did
25 identify a couple of extra pesticides based on that

1 review.

2 PANEL MEMBER KLEINMAN: Great.

3 CHAIRPERSON ANASTASIO: Yes, Joe.

4 PANEL MEMBER LANDOLPH: And I was thinking on the
5 carcinogen list, there could be certain chemicals that
6 might be starred as of particular importance, even though
7 they're already Category 1, I'm thinking of
8 2,3,7,8-tetrachlorodioxin, because it -- the data from
9 Seveso, Italy showed that it raised the cancer rates in
10 almost every organ in the body in Italy in the people who
11 were exposed to it. And arsenic, which is like a -- oh,
12 carcinogenic in five or six different organs. So things
13 like that, which are multi-system carcinogens might be --

14 PANEL MEMBER BLANC: But, Joe, those would all --
15 those are already listed. I mean, those are not -- they
16 wouldn't be the --

17 PANEL MEMBER LANDOLPH: Yeah, I said they're
18 listed as Category 1.

19 PANEL MEMBER BLANC: No, but I mean they're
20 already listed.

21 PANEL MEMBER LANDOLPH: Oh. Okay. Good.

22 PANEL MEMBER BLANC: They're not here because
23 they're already --

24 PANEL MEMBER LANDOLPH: Good.

25 PANEL MEMBER BLANC: They're already.

1 PANEL MEMBER LANDOLPH: Good.

2 PANEL MEMBER BLANC: I don't want to -- I won't
3 stake my life on it, but I'm pretty sure --

4 AQPSD STAFF AIR POLLUTION SPECIALIST SCHWEHR:
5 Yes. Those have been in the program for quite
6 some time. Yes, that's correct.

7 PANEL MEMBER BLANC: Right. So the -- you know,
8 it's a little bit like, you know, Claude Rains in
9 Casablanca rounding up the usual suspects. I think what
10 they're asking is that, you know, if we think outside the
11 box, what is it that we're not thinking of, I think? Is
12 that -- is that correct?

13 AQPSD ASSISTANT DIVISION CHIEF EDWARDS: Yeah.
14 Yeah, I think that gets to that functional group category.
15 It also gets to the -- like, for example, we mentioned the
16 significant new use list that EPA puts out. Sort of
17 the -- just because as you sort of saw just from the
18 background, this regulation has been really updated twice
19 in roughly 25 years. So the opportunities that we do get
20 to go into this are few and far between. So right now, we
21 do have an opportunity to kind of go in and try to at
22 least be proactive in how we're doing everything, as
23 opposed to reactive, which is sort of looking at where the
24 potential for development lies and what sorts of chemicals
25 may be coming out or functional group relevances may be

1 coming out in the future as well.

2 CHAIRPERSON ANASTASIO: So I second Paul's point
3 about it's hard to figure out if a toxicant you're
4 interested in is already on the other list. But, you
5 know, you go into the PDF, you do control F, you write it
6 in, and you see if it's there. So you caught all the ones
7 that I could think of initially. They're either on the
8 original list or on the new list.

9 But I encourage other Panel members, if you have
10 some favorite toxicants, just check, see if they're on the
11 old list, see if they're on the new list. And if not,
12 let's discuss it at our November 22nd meeting.

13 I was trying to think of what might emerging
14 contaminants in California look like. So I was trying to
15 think of emerging industries. And one of the ideas that
16 occurred to me - it may sound crazy - was cannabis, right?
17 A lot of cannabis cultivation in California, not a lot of
18 cannabis processing. So I'm wondering are there -- I know
19 there are complaints about odors from cannabis operations.
20 And so I'm wondering if there are actually
21 cannabis-specific toxicants that we haven't been thinking
22 about that might actually be important. So I would
23 encourage you to see if there's any literature on that,
24 and perhaps there are some compounds.

25 Now, I know that in your do-not-include list, you

1 had -- some things were excluded because they were
2 botanicals or natural. So it makes me wonder is -- if
3 there was a cannabis air toxicant, would it be listed or
4 is it natural?

5 AQPSD STAFF AIR POLLUTION SPECIALIST SCHWEHR: I
6 don't think that our exclusion of a botanical is an
7 automatic.

8 CHAIRPERSON ANASTASIO: Oh, okay.

9 AQPSD STAFF AIR POLLUTION SPECIALIST SCHWEHR:
10 It's more that we looked at them and thought
11 about how they would -- how could they become airborne,
12 was that very likely in the way that they're used, and
13 things like that. And you're right, with cannabis being
14 more of something that is vaporized or combusted, it might
15 be different.

16 CHAIRPERSON ANASTASIO: And I wasn't thinking of
17 cannabis use, but more the processing to the point where
18 it gets to the consumer. So, you know, some of these
19 farms are quite large. And I know that there are a lot of
20 neighbor complaints in some cases. And so there may be
21 some real issues there.

22 AQPSD STAFF AIR POLLUTION SPECIALIST SCHWEHR:
23 John Budroe.

24 DR. BUDROE: John Budroe.

25 Well, one question, for example, an indoor grow

1 house or a greenhouse that's growing cannabis. Is that an
2 ag use? And ag uses are generally not covered under the
3 Hot Spots Program.

4 CHAIRPERSON ANASTASIO: Right. But that would be
5 the application of a chemical to the crop, right? I'm
6 wondering if the crop emits something itself.

7 DR. BUDROE: No, we're talking about there are
8 complaints that do come from indoor greenhouses or indoor
9 growing areas that is pungent.

10 CHAIRPERSON ANASTASIO: Yeah.

11 DR. BUDROE: So -- and there are probably -- the
12 plants are emitting volatile chemicals. But, you know,
13 the question is, is that still -- that's an agricultural
14 production area. So is that covered under Hot Spots?

15 PANEL MEMBER BLANC: But just carrying it one
16 step further, we had an extensive SRP review of secondhand
17 smoke. And we -- we -- our findings led to its
18 determination as a toxic air contaminant. So I suppose
19 secondhand marijuana smoke might be an exposure that, at
20 some point, could be considered.

21 And another -- no, go ahead, John.

22 DR. BUDROE: That could potentially be so. But
23 what we're really talking about here is the actual growing
24 facilities.

25 PANEL MEMBER BLANC: No, I understand, but it

1 triggered me thinking that --

2 DR. BUDROE: Okay.

3 PANEL MEMBER BLANC: -- one thing that's not on
4 this list -- because secondhand cigarette smoke shouldn't
5 be on this list, because it's already -- already been
6 considered, right? So -- but we've never considered
7 secondhand cannabis smoke. So that's one thing.

8 Another thing, thinking back to previous
9 discussions that this Committee has had, we had, you know,
10 a very, very involved review of diesel exhaust. But my
11 memory is that what we designated was diesel exhaust
12 particulate and that we never did designate diesel exhaust
13 gaseous material.

14 PANEL MEMBER HAMMOND: But it's on the -- it's on
15 the list now.

16 PANEL MEMBER BLANC: So that's --

17 PANEL MEMBER HAMMOND: Yeah, I was going through
18 the list. So I was surprised to see that and pleased.

19 PANEL MEMBER BLANC: So that's a good --

20 PANEL MEMBER HAMMOND: Pleased to see that.

21 PANEL MEMBER BLANC: So the system worked,
22 whatever your -- I mean, that's an example of something
23 that slipped by. So if you've caught that -- however it
24 was that you caught that, good thing.

25 PANEL MEMBER HAMMOND: Yeah, that was -- that was

1 good work. Trying just another thing, has anyone ever
2 compiled a list, thinking of these big spreadsheets that
3 Stan's been talking about, a list of the chemicals how
4 many facilities in California respond and say they have
5 emissions? And that actually might be some really
6 interesting things to start bringing those data together,
7 and looking at what we have, and looking at -- has that
8 been done, or if not, maybe we could think and put that on
9 the agenda.

10 AQPSD STAFF AIR POLLUTION SPECIALIST SCHWEHR:

11 That is one of the things that is an outcome of
12 the AB 2588 process, is that these -- these facilities
13 finish their reporting. It's reviewed by the district,
14 and then it's forwarded to CARB and it resides in a
15 database that we have here. So for all of the facilities
16 that have been subject to the Hot Spots Program, we do
17 have what they've reported as their emissions.

18 In fact, I looked up, after I heard your
19 discussion on cobalt, I was looking to see how many
20 reported facilities. We have about 200 facilities that
21 have reported just generically cobalt. We don't have the
22 breakdown of the soluble and insoluble yet, of course, but
23 we do have some.

24 PANEL MEMBER HAMMOND: And is that publicly
25 available so people can do that?

1 AQPSD STAFF AIR POLLUTION SPECIALIST SCHWEHR:

2 Yes. Yes, it is.

3 PANEL MEMBER HAMMOND: Oh. Okay. Great. Maybe
4 you can later send that around. That would be great.
5 Because that -- that would be a great MPH project, you
6 know, just to actually look. Has anyone actually looked
7 at that as a totality and kind of have you been able to do
8 that?

9 AQPSD STAFF AIR POLLUTION SPECIALIST SCHWEHR:

10 Yes.

11 PANEL MEMBER HAMMOND: Oh, good.

12 AQPSD STAFF AIR POLLUTION SPECIALIST SCHWEHR: We
13 get lots of data requests from researchers to look at that
14 database.

15 PANEL MEMBER HAMMOND: No, but I'm wondering has
16 anyone compiled that to look at, okay, what do we know
17 now? Has anyone really taking a sys -- taken a systematic
18 view of some of that that we know?

19 AQPSD STAFF AIR POLLUTION SPECIALIST SCHWEHR:

20 We've done a number of analyses. We also have a
21 mapping tool that helps people see on a map --

22 PANEL MEMBER HAMMOND: Uh-huh. Okay. Okay.

23 AQPSD STAFF AIR POLLUTION SPECIALIST SCHWEHR:

24 -- where facilities are. You can ask for it by a
25 specific chemical.

1 PANEL MEMBER HAMMOND: So like where are all the
2 cobalt places and we could -- they'd pop up?

3 AQPSD STAFF AIR POLLUTION SPECIALIST SCHWEHR: I
4 don't know if we have cobalt on the map quite yet.

5 AQPSD ASSISTANT DIVISION CHIEF EDWARDS: Not yet.
6 Not on our map. But if you did a data request --

7 AQPSD STAFF AIR POLLUTION SPECIALIST SCHWEHR: A
8 data request would list it.

9 AQPSD ASSISTANT DIVISION CHIEF EDWARDS: -- we'd
10 give you a list of the 200 facilities by county, zip code,
11 address, and emissions.

12 PANEL MEMBER HAMMOND: And how the emissions are.

13 AQPSD ASSISTANT DIVISION CHIEF EDWARDS: (Nods
14 head.)

15 PANEL MEMBER HAMMOND: Great.

16 PANEL MEMBER GLANTZ: Well, so if I could just go
17 back to the -- and this may be more a question for lawyers
18 than scientists. But, you know, if you've got a marijuana
19 grow out in a field somewhere, that's agricultural. But
20 if you're in a city and you've got an industrial
21 greenhouse facility, that's, you know, then emitting stuff
22 into the air outside the building, I mean, is that
23 considered agriculture or does that now become an
24 industrial thing, which would be regulated ARB, in terms
25 of the emissions that make it out of the building? Does

1 that -- you know, Cort is right, I mean, people are
2 complaining about that. And if you can smell it, it's
3 probably not a good thing.

4 AQPSD ASSISTANT DIVISION CHIEF EDWARDS: Yeah. I
5 think that is a discussion that we probably do need to
6 have internally with our lawyers in the room to talk about
7 sort of what is the extent of scope and authority that
8 2588 gives us to get into that -- into that category
9 specifically.

10 I do agree with you that there is some
11 distinction between a crop going in a field and a crop
12 growing in an industrial building and how the permitting
13 structure works, what the classification is within that
14 district as to how they class that type of activity,
15 because especially if it's indoors, it's a lot of back-up,
16 it's a lot of generators, it's a lot of more industrial
17 type sources that might be being used.

18 I think one other piece to kind of maybe add an
19 extension on to think about potentially is also the
20 processing site, because outside of the ag use side, then
21 there's the actual processing of the plant. And that
22 could have some also implications as well. So there's --
23 that's I think -- that is, I would strongly say, is in the
24 Hot Spots Program. The growing piece is I think a gray
25 area.

1 PANEL MEMBER BLANC: So --

2 PANEL MEMBER HAMMOND: One of my students just
3 did an ergonomics project on the ergonomic problems. And
4 there are a lot as it turns out in cannabis industry. But
5 look at the pictures that he had showing that, made me
6 realize this was an industrial process as well.

7 PANEL MEMBER BLANC: So coming back to functional
8 groups and categories. How are you dealing with the
9 myriad of fluoro -- fluorinated carbons? You know, I
10 mean, every different combination, they all have -- you
11 know, all the freons, have you -- how have you dealt with
12 that? Freon 123, freon 124, freon -- you know.

13 AQPSD STAFF AIR POLLUTION SPECIALIST SCHWEHR: We
14 have I think it's a handful of the chlorofluorocarbons
15 right now on the list, just a limited number, because
16 those are the ones that had exhibited enough toxicity to
17 be on one of those six source lists that Dave mentioned
18 during the slide presentation.

19 The others have not emerged as on the radar of
20 these organizations, international, national, and local,
21 that look at toxicity health effect type of things. So
22 those have not -- we don't have a lot of the freons on the
23 list. We just have a handful on the toxic list. They're
24 handled in other programs here at ARB, of course.

25 PANEL MEMBER BLANC: Right.

1 AQPSD STAFF AIR POLLUTION SPECIALIST SCHWEHR:

2 But as far as the toxics program, there's only a
3 handful that made it. Now, that's not counting these per-
4 and polyfluoroalkyl substances that are analogs to like
5 PFOA and PFOS. Those we're trying to capture in two ways.
6 We'll have a long list. I think we have something like 70
7 of them. Let's see, how many did we have of those?

8 Yeah, about 74 individual ones that we've been
9 able to identify from known literature. But then we are
10 also creating a functional group to try to get ahead of
11 the emerging ones.

12 PANEL MEMBER BLANC: Yeah. That's an area --

13 AQPSD STAFF AIR POLLUTION SPECIALIST SCHWEHR:

14 But are you asking specifically about the -- just
15 like the freon refrigerant type ones?

16 PANEL MEMBER BLANC: Both, I think.

17 AQPSD STAFF AIR POLLUTION SPECIALIST SCHWEHR:

18 Both. Okay. Yeah, so --

19 PANEL MEMBER BLANC: And see it gets a little bit
20 more complicated because in addition to the sort of
21 classic long-chain polymers, there are also these fairly
22 short but not monomer polyfluorinated materials that are
23 used as water repellent coatings and have had a lot of
24 human health effects. So it's complicated. Complicated
25 chemistry, but it's also complicated to capture them, I

1 think, because they keep switching around --

2 AQPSD STAFF AIR POLLUTION SPECIALIST SCHWEHR:

3 Yes.

4 PANEL MEMBER BLANC: -- and, you know, they're
5 not -- and that -- so that's a good candidate for a
6 group -- functional group approach I would say.

7 CHAIRPERSON ANASTASIO: While we're on that
8 topic, do other Panel members have thoughts about other
9 functional groups that should be considered? I think the
10 approach is great. You know, that was one of your
11 questions, is this a good approach. I think it's great,
12 because right, it's -- otherwise, it's whack-a-mole all
13 the time. You know, you add another CH2 group and it's a
14 different compound. But if you've got the entire class,
15 then you capture that.

16 So are there other functional groups that Panel
17 members can think of that should be included?

18 PANEL MEMBER BLANC: Well, you know, methylating
19 agents are not great things, in general. But I don't --
20 beyond that, I don't have a specific

21 PANEL MEMBER HAMMOND: Aldehydes. We certainly
22 know a lot of them are probably are -- I'm sure are
23 already on the list. And that's actually one of the big
24 things in the diesel exhaust, but you might add that. And
25 I think there -- you know, for some of these groups like

1 aldehydes, sometimes you can have reactions that kind of
2 capture a class as opposed to just doing individual
3 compounds, if you just get a chemical reaction for the
4 functional group and get a total, without necessarily
5 having to identify them all.

6 PANEL MEMBER BLANC: And also, as a group on your
7 metals that you've added -- have you -- I haven't gone
8 through here with an eye towards it, but rare earth
9 metals. Have you considered them? I doubt they're
10 already on -- cerium, lanthanum.

11 Also, in terms of the metals that are in
12 catalytic converters that there's been some issues about,
13 like ruthenium, and platinum, and palladium. You'll have
14 to double check, but I'd be surprised if they were
15 already --

16 AQPSD STAFF AIR POLLUTION SPECIALIST SCHWEHR:
17 Most of those are not yet on the list.

18 PANEL MEMBER BLANC: But maybe they're -- not on
19 the old list, right now.

20 AQPSD STAFF AIR POLLUTION SPECIALIST SCHWEHR:
21 Not on the old list. No on the old list either.
22 Good suggestions. Thank you.

23 PANEL MEMBER BLANC: To clarify too, I saw that
24 you have a bunch of beryllium compounds on the new list.
25 Is that because the old only just had beryllium

1 generically and this was an example of you trying to get
2 specific CAS-associated entities?

3 AQPSD STAFF AIR POLLUTION SPECIALIST SCHWEHR:

4 That's right, where we had some, but we're adding
5 additional ones.

6 PANEL MEMBER BLANC: Okay.

7 AQPSD STAFF AIR POLLUTION SPECIALIST SCHWEHR:

8 And you -- we put a little "e" in the column on
9 the spreadsheet, so that you can tell. What we tried to
10 do is bring the group together so that you could see it in
11 context.

12 PANEL MEMBER BLANC: Right.

13 AQPSD STAFF AIR POLLUTION SPECIALIST SCHWEHR:

14 But the "e" are existing ones.

15 PANEL MEMBER BLANC: Gotcha. Gotcha.

16 AQPSD STAFF AIR POLLUTION SPECIALIST SCHWEHR:

17 And then without the "e" were -- are the ones
18 that we're adding additionally.

19 PANEL MEMBER BLANC: Yeah.

20 PANEL MEMBER HAMMOND: I have to say this is kind
21 of amazing an overwhelming to me what you're trying to do
22 here. But I think it's really great to step back and not
23 just be in our old world all the time.

24 But just another list I thought of is maybe the
25 EU banned -- you know, looking at the EU REACH chemicals

1 that achieve a certain status there. And I'm not sure how
2 I would divide that. But at least look at that as a
3 source to think about.

4 AQPSD STAFF AIR POLLUTION SPECIALIST SCHWEHR: We
5 did pick up some from that, but I'm not sure it's been a
6 comprehensive look at that.

7 PANEL MEMBER HAMMOND: Systematic.

8 AQPSD STAFF AIR POLLUTION SPECIALIST SCHWEHR:
9 Systematic, um-hmm.

10 PANEL MEMBER HAMMOND: Yeah, I think, at this
11 point, I would add that voluntarily, you know, to your
12 list of to always be paying attention to.

13 CHAIRPERSON ANASTASIO: I had one comment on
14 functional groups. So you've got halogenated PAHs, but
15 I'm wondering about other classes of PAHs, nitro-PAHs,
16 polycyclic aromatic quinones. Certainly, they are toxic.
17 And I don't know if you just hadn't considered it. I
18 mean, some of them are secondary, right, formed in the
19 atmosphere. But I suspect that there are emissions at
20 least of some of those different types of compounds.

21 PANEL MEMBER RITZ: You asked for some favorite
22 chemicals. I couldn't find the strobins, azoxystrobin,
23 the fungicides, that you'd not only find in the fields but
24 actually in drywall. They're are -- they are in what's
25 called purple drywall. And from a few years ago, I

1 remember that they're extremely neurotoxic -- toxic to
2 neurons in the dish at least, so -- and they seem to be
3 coming up to be quite widely used, including in homes.

4 AQPSD STAFF AIR POLLUTION SPECIALIST SCHWEHR:

5 And could you repeat the class again?

6 PANEL MEMBER RITZ: They're called strobins,
7 azoxystrobine, S-t-r-o-b-i-n, I think, but they have all
8 sorts of names that end with strobin.

9 AQPSD STAFF AIR POLLUTION SPECIALIST SCHWEHR:

10 Thank you.

11 PANEL MEMBER RITZ: Fungicides.

12 AQPSD STAFF AIR POLLUTION SPECIALIST SCHWEHR:

13 Thank you.

14 CHAIRPERSON ANASTASIO: Okay. How about we move
15 to the third question in Dave's presentation then. If
16 people had a chance to look at the list of not proposed
17 for inclusion and are there compounds that are listed
18 there that, in fact, should be listed in one of the prior
19 appendices? Any input on that?

20 I can maybe start it off. So I noticed it seemed
21 that one of the criteria was vapor pressure. You know, is
22 something volatile or not? And if it's not volatile and
23 you couldn't imagine a dust-generating activity, it seemed
24 that it didn't get listed. But it does seem, if you look
25 at what's measured in the atmosphere, for example, you can

1 find cocaine in particles.

2 And cocaine is fairly non-volatile. But I think
3 it's volatile enough that it can partition to the gas
4 phase and then stick to a particle. So I would consider
5 your volatility range maybe. And some of these things
6 that are relatively low volatility are volatile enough
7 that they can actually get up there and then partition to
8 particles.

9 So I don't know if you had a hard rule for vapor
10 pressure, but you may want to expand that range.

11 AQPSD STAFF AIR POLLUTION SPECIALIST SCHWEHR:

12 Yeah, we didn't have hard one. We looked at the
13 combination of the number of carbons at times, the boiling
14 point, the vapor pressure, all of those things and tried
15 to in that talk among staff and try to understand what we
16 thought it would behave as. But that's a good point.
17 Thank you, yeah.

18 PANEL MEMBER HAMMOND: Yeah, I had -- actually
19 had been thinking about that too. I think that dust, in
20 general, specifically if they're in small sizes, you know,
21 if they're under PM10 really that they get transported.
22 And so volatility is important, but it -- but I think as
23 long as the particle size is small, it's going to be
24 transported and should be included.

25 PANEL MEMBER KLEINMAN: Now, that you mention

1 that, nanoparticles in general might be a category to look
2 at. But things like carbon nanoparticles, you know,
3 nanotubes, nanofibers, those are in heavy industrial use
4 now, so they may warrant being on the list.

5 AQPSD STAFF AIR POLLUTION SPECIALIST SCHWEHR:

6 And we did pick up, I think, one or two examples
7 of that that came from some of the IARC or other sources.
8 We did pick up a couple of those. And, yes, if anyone
9 else has ideas on a way to structure or categorize them,
10 we'd be open to that guidance as well. So far, we just
11 took the way they were structured on other -- one of these
12 other six lists.

13 CHAIRPERSON ANASTASIO: Any other Panel comments
14 on the third question or any of the other questions?

15 PANEL MEMBER BLANC: Cort, I have a methods
16 question, technical question. So suppose a week from now,
17 I have a chemical that I, you know, thought about and
18 double checked and it's not currently listed and it's not
19 listed here. Is there one person -- you know, should we
20 be feeding those to Jim or -- so they don't have to hear
21 from a bunch of different people. It can be sort of
22 collected together. Is there a conduit for such comments?

23 CHAIRPERSON ANASTASIO: So if you have that
24 information between now and November 22nd, bring it to our
25 November 22nd meeting. So, you know, we will be meeting

1 again to discuss this list. And we expect some public
2 comments about this, and so we will -- we'll get those
3 public comments I think a week or two before the meeting.
4 So we'll have some time to look at the public comments as
5 well.

6 So we'll have a discussion of public comments,
7 and any other ideas that panel members have about the
8 three questions that Dave asked. So please between now
9 and the 22nd, look at the those three questions, think
10 about your favorite chemicals or your least favorite
11 chemicals, and see if you have input on Dave on the three
12 questions.

13 After the 22nd, how should we get input to you,
14 Dave?

15 AQPSD ASSISTANT DIVISION CHIEF EDWARDS: So I'm
16 sort of envisioning the 22nd we'll have some public
17 comments and then additional feedback from you all. As
18 far as -- what we kind of -- what we're sort of
19 envisioning is we are going to have a whole other separate
20 public process when we do our regulatory update. We'll
21 have public workshops, sort of initial comment, formal
22 comment. So any additional comments could just sort of be
23 submitted probably to Gabe or myself. And then we would
24 incorporate those into our -- our informal comment that we
25 have during the -- during that rulemaking process.

1 I think also as a sort of final step, what
2 we'll -- after sort of hearing the different comments
3 today, I think sort of in the recommendation piece, we'll
4 have sort of a -- the action item list that we were sort
5 of our -- in a sense, our homework to do in establishing a
6 more comprehensive list, we can kind of talk about sort of
7 recommendations where this, this, and this, and those were
8 done. And then kind of write that up in a more formal way
9 that may be at the end of the November discussion or the
10 next meeting we can have some sort of a vote or something,
11 whatever that equivalent is for this group. Does that
12 sound --

13 CHAIRPERSON ANASTASIO: Yeah, we can certainly
14 talk about what that would be. But it would seem to me a
15 list of the SRP comments maybe enough --

16 AQPSD ASSISTANT DIVISION CHIEF EDWARDS: Yeah.

17 CHAIRPERSON ANASTASIO: -- not necessarily having
18 to vote as a group about --

19 AQPSD ASSISTANT DIVISION CHIEF EDWARDS: Yeah, I
20 just wanted to -- I mean -- my Board is used to voting.

21 CHAIRPERSON ANASTASIO: Well, we'll see how
22 people feel about voting on the 22nd.

23 Any other comments from the Panel?

24 Okay. So I've got a couple more small agenda
25 items, but I wanted to give Jim a break.

1 THE COURT REPORTER: I'm fine.

2 CHAIRPERSON ANASTASIO: Oh, he's -- okay. Jim's
3 a superman. He's going to continue.

4 So just first thank you to Dave, Gabe, Beth for
5 all your input and for giving -- allowing us to give you
6 input.

7 The next agenda item is administrative matters.
8 So, the first is for me to remind you that we're going to
9 have the conference call on the morning of the 22nd.

10 And then --

11 PANEL MEMBER GLANTZ: Do we have a time yet?

12 CHAIRPERSON ANASTASIO: Didn't we have a time or
13 no.

14 PANEL LIAISON BEHRMANN: We have not set a time
15 yet.

16 CHAIRPERSON ANASTASIO: Ah. Okay. Thank you.
17 So --

18 PANEL MEMBER GLANTZ: It would be really good to
19 set the time --

20 CHAIRPERSON ANASTASIO: Yeah, 100 percent.

21 PANEL MEMBER GLANTZ: -- because everybody wants
22 -- you know, so we can get it on our calendar.

23 CHAIRPERSON ANASTASIO: You want to set the time
24 right now?

25 PANEL MEMBER GLANTZ: That's fine with me.

1 CHAIRPERSON ANASTASIO: Or you want Jim to send
2 an email right after the meeting?

3 PANEL MEMBER BLANC: Send an email.

4 CHAIRPERSON ANASTASIO: I think an email might be
5 more efficient. But I hope everybody has blocked out the
6 morning. That was what -- the email originally said, you
7 know, it would be the morning of the 22nd, so --

8 PANEL MEMBER BLANC: Well, I have -- I have a
9 half hour that was pre-booked and I can't change, but that
10 happens, but before this was set.

11 CHAIRPERSON ANASTASIO: Will that work for you
12 Jim?

13 PANEL LIAISON BEHRMANN: Yes.

14 CHAIRPERSON ANASTASIO: Okay. So Jim will send
15 out and email. We'll nail down the time. Then the next
16 meeting that we will do in person will -- it's tentatively
17 set for February 27th, 2020. So make sure that's on your
18 calendar. And Jim has already sent out an email about
19 that as well.

20 PANEL MEMBER BLANC: February what?

21 CHAIRPERSON ANASTASIO: 27th.

22 The next administrative item, update on HDI,
23 right, hexamethylene diisocyanate we considered at our
24 March 2019 meeting. The REL for that has now been
25 completed and adopted by OEHHA. So that's set. Thank

1 you, Panel, for all your work on that.

2 And that brings us to our last agenda item, which
3 is both happy and sad. Happy for Jim, sad for us. So,
4 Jim Behrmann is retiring after more than 20 years of
5 service to the SRP and to CARB. So I know as Chair, I'm
6 going to miss him enormously because he's the one who
7 actually knows what's going on.

8 But we have somewhere -- well, do we have Reid?

9 We do not have Reid. Okay. Reid has something
10 on his person that I need, which is a letter of
11 appreciation for Jim and all his service. So we're going
12 to send out some scouts?

13 No.

14 I'm going to ask Jim if he can get in touch with
15 Reid --

16 (Laughter.)

17 CHAIRPERSON ANASTASIO: -- so that Reid can give
18 me the letter, so I can read it to Jim.

19 Do you have Reid's number?

20 PANEL LIAISON BEHRMANN: I do.

21 CHAIRPERSON ANASTASIO: He didn't leave a folder,
22 did he?

23 PANEL MEMBER GLANTZ: This is why you can't
24 retire.

25 (Laughter.)

1 CHAIRPERSON ANASTASIO: Right. This is a great
2 example of what life is going to be like without Jim.

3 (Laughter.)

4 CHAIRPERSON ANASTASIO: And so Panel members
5 after I read the letter, what we'll do is once the meeting
6 is adjourned, we're going to take a picture with Jim. And
7 then we'll get a copy of that picture to Jim as another
8 token of our appreciation of all his service.

9 PANEL MEMBER GLANTZ: Maybe we should take the
10 picture while we're waiting for Reid.

11 CHAIRPERSON ANASTASIO: You know, that is an
12 excellent point. Why don't we take our picture now.

13 (Off record: 1:52 p.m.)

14 (Thereupon a recess was taken.)

15 (On record: 2:02 p.m.)

16 CHAIRPERSON ANASTASIO: All right, everybody.
17 We're back in action. Okay. So our last item of business
18 is this letter from the California Environmental
19 Protection Agency and the Scientific Review Panel to Jim
20 Behrman in appreciation of all of his -- all the service.

21 And the letter reads, "We wish to express our
22 gratitude for your exemplary commitment and service to
23 California's Air Toxics Programs, as the California EPA's
24 liaison to the Scientific Review Panel on Toxic Air
25 Contaminants for 19 years.

1 "The Panel is responsible for the technical peer
2 review of draft health risk assessments of candidate toxic
3 air contaminants, new guidelines for the preparation of
4 improved health risk assessments, summaries of the
5 derivation of health values for other contaminants, and
6 related documents.

7 "During your tenure, you assisted the Panel in
8 formulating dozens of formal notices and findings, to
9 assure their legal soundness, and that all key points and
10 conclusions were included. You also directed staff in the
11 planning of Panel meetings, which often involved
12 challenging logistics, given the full schedules of Panel
13 members, timely needs to the State, and other factors.

14 "Your careful attention to the Panel's needs, as
15 well as to the scientific details of the documents under
16 review has enabled the Panel to run smoothly and
17 efficiently, and to issue new findings and conclusions
18 that have led to advanced health protective policies and
19 measures. The end result is that we can all breathe
20 cleaner air today.

21 "The details of the Scientific Review Panel's
22 work and its contributions are critical to the development
23 of State regulation and policy. Over the years, the Panel
24 listed 21 toxic air contaminants and nine pesticide toxic
25 air contaminants, and reviewed technical support documents

1 for the Air Toxic Hot Pots Program and the Air Toxics Hot
2 Spots Guidance Manual.

3 "The Panel's independent careful review of
4 proposed actions, risk assessments, and guidelines assures
5 the public, as well as the regulated businesses, that the
6 scientific underpinning of the agency's regulatory work is
7 sound.

8 "We thank you, Jim, for your service and your
9 contributions in assisting the Panel in improving the
10 health of all Californians. And we extend our warmest
11 wishes to you for a long and happy retirement".

12 Sincerely, the Panel.

13 (Applause.)

14 PANEL LIAISON BEHRMANN: Thank you.

15 CHAIRPERSON ANASTASIO: So we'll miss you a lot
16 Jim, but we're very happy for you that you're going to a
17 happy place.

18 (Laughter.)

19 CHAIRPERSON ANASTASIO: With that, can I get a
20 motion to adjourn?

21 PANEL LIAISON BEHRMANN: Is it okay if I --

22 CHAIRPERSON ANASTASIO: Oh, sorry. The guest of
23 honor would like to -- you have to speak into a mic for
24 the record.

25 PANEL LIAISON BEHRMANN: I just wanted to express

1 my personal appreciation to the Panel. This was just an
2 unexpected gift. Thank you very much.

3 But working with this Panel just has been a
4 nice -- actually a second half to my career here. Having
5 worked throughout the Board starting several decades ago,
6 it just, it was a perfect way to close out my career.

7 So each of you individually, as well as I have
8 many, many friends working for the Board. It's just a
9 wonderful place to work and for people to be with.

10 So thank you all very much.

11 CHAIRPERSON ANASTASIO: That's great. Thanks,
12 Jim. We wish you the best in retirement.

13 PANEL LIAISON BEHRMANN: I'm still going to be
14 here until end of the year, but on vacation.

15 (Laughter.)

16 PANEL LIAISON BEHRMANN: So I will see you -- I
17 will see you in November at the November meeting.

18 PANEL MEMBER HAMMOND: But that's a call-in
19 meeting.

20 PANEL LIAISON BEHRMANN: Yes. Yes.

21 CHAIRPERSON ANASTASIO: He'll talk to us on the
22 22nd. Yeah.

23 Okay. With that, can I get a motion to adjourn.

24 PANEL MEMBER KLEINMAN: So moved.

25 CHAIRPERSON ANASTASIO: Can I get a second?

1 PANEL MEMBER GLANTZ: Second

2 CHAIRPERSON ANASTASIO: Can we take a vote. All
3 in favor

4 (Hands raised.)

5 CHAIRPERSON ANASTASIO: It's unanimous. Thank
6 you all for your input on today's meeting. We'll talk to
7 you on November 22nd.

8 (Thereupon the California Air Resources Board,
9 Scientific Review Panel adjourned at 2:06 p.m.)

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

1 C E R T I F I C A T E O F R E P O R T E R

2 I, JAMES F. PETERS, a Certified Shorthand
3 Reporter of the State of California, do hereby certify:

4 That I am a disinterested person herein; that the
5 foregoing California Air Resources Board, Scientific
6 Review Panel meeting was reported in shorthand by me,
7 James F. Peters, a Certified Shorthand Reporter of the
8 State of California;

9 That the said proceedings was taken before me, in
10 shorthand writing, and was thereafter transcribed, under
11 my direction, by computer-assisted transcription.

12 I further certify that I am not of counsel or
13 attorney for any of the parties to said meeting nor in any
14 way interested in the outcome of said meeting.

15 IN WITNESS WHEREOF, I have hereunto set my hand
16 this 13th day of October, 2019.

17
18
19
20 

21
22
23 JAMES F. PETERS, CSR
24 Certified Shorthand Reporter
25 License No. 10063