

TELECONFERENCE MEETING
STATE OF CALIFORNIA
ENVIRONMENTAL PROTECTION AGENCY
AIR RESOURCES BOARD
SCIENTIFIC REVIEW PANEL
ON TOXIC AIR CONTAMINANTS

BERKELEY WAY WEST
2121 BERKELEY WAY, ROOM 5108
BERKELEY, CA

UNIVERSITY OF CALIFORNIA, IRVINE
DEPARTMENT OF MEDICINE, SUITE 100
100 THEORY - IRVINE, CA

UNIVERSITY OF CALIFORNIA, DAVIS
PLANT & ENVIRONMENTAL SCIENCES BUILDING
387 NORTH QUAD, ROOM 3146 - DAVIS, CA

UNIVERSITY OF CALIFORNIA, LOS ANGELES
EPIDEMIOLOGY CONFERENCE ROOM 73-315 CHS
FIELDING SCHOOL OF PUBLIC HEALTH
650 CHARLES E YOUNG DRIVE, SOUTH - LOS ANGELES, CA

UNIVERSITY OF SOUTHERN CALIFORNIA
NORRIS TOPPING TOWER, FOURTH FLOOR
1441 EASTLAKE AVENUE, ROOM #4427A - LOS ANGELES, CA

UNIVERSITY OF CALIFORNIA, SAN FRANCISCO
KALMANOVITZ LIBRARY, SUITE 366
530 PARNASSUS AVE - SAN FRANCISCO, CA 94143

UNIVERSITY OF SOUTHERN CALIFORNIA
NORRIS RESEARCH TOWER BUILDING, FIRST FLOOR
OFFICE NO. 1509A - LOS ANGELES, CA

CALIFORNIA ENVIRONMENTAL PROTECTION AGENCY
SIERRA HEARING ROOM, 2ND FLOOR
1001 I STREET - SACRAMENTO, CALIFORNIA

FRIDAY, NOVEMBER 22, 2019
9:34 A.M.

JAMES F. PETERS, CSR
CERTIFIED SHORTHAND REPORTER
LICENSE NUMBER 10063

A P P E A R A N C E S

PANEL MEMBERS:

Cort Anastasio, Ph.D., Chairperson

Ahmad Besaratinia, Ph.D.

Paul D. Blanc, M.D.

Stanton A. Glantz, Ph.D.

S. Katharine Hammond, Ph.D.

Michael T. Kleinman, Ph.D.

Joseph R. Landolph, Jr., Ph.D.

Lisa A. Miller, Ph.D.

Beate R. Ritz, M.D., Ph.D., M.P.H.

REPRESENTING THE AIR RESOURCES BOARD:

Jim Behrmann, Panel Liaison

Dave Edwards, Ph.D., Assistant Chief, Air Quality Planning
& Science Division

Lori Miyasato, Ph.D., Panel Liaison

Claudia Nagy, Senior Attorney, Legal Office

Gabe Ruiz, Manager, Toxics Inventory and Special Projects
Section, Air Quality Planning & Science Division

Beth Schwehr, Staff Air Pollution Specialist, Air Quality
Planning & Science Division

Melissa Traverso, Air Pollution Specialist, Air Quality
Planning & Science Division

A P P E A R A N C E S C O N T I N U E D

REPRESENTING THE OFFICE OF ENVIRONMENTAL HEALTH HAZARD
ASSESSMENT:

John Budroe, Ph.D., Chief, Air Toxicology and Risk
Assessment Section

I N D E X

PAGE

1. Continuation of the Panel's review of draft proposed updates to the chemical substances list in Appendix A of the AB 2588 Air Toxics "Hot Spots" Emission Inventory Criteria and Guidelines regulation.

The California Air Resources Board compiles air toxics emissions data for stationary sources as required by the Air Toxics "Hot Spots" Act (Health and Safety Code section 44300 et seq.; AB2588, Connelly). Under this program, stationary source facilities are required to report the types and quantities of toxic substances they routinely release into the air. The goals of this program are to identify facilities having potential for localized impacts; evaluate their health risks; notify nearby residents about significant risks; and ultimately reduce the risks below a health protective threshold.

The Toxics "Hot Spots" Emission Inventory Criteria and Guidelines (EICG) regulation was last updated in 2007. In the June 28th meeting the Panel received an informational presentation on the program, a summary of the amendments being considered, and the process and timeline. In the October 4th meeting, CARB staff presented and the Panel discussed draft proposed changes to the chemical substances list in Appendix A of the EICG regulation. In this meeting the Panel will continue its discussion of the chemical list including any public comments received, and possible recommendations to CARB staff. The proposed changes to the chemical list being reviewed are posted on the CARB "Hot Spots" Toxics Inventory web page at: <https://ww3.arb.ca.gov/ab2588/2588guid.htm>

1

2. Consideration of administrative matters.

The Panel may discuss various administrative matters and scheduling of future meetings.

57

I N D E X C O N T I N U E D

	PAGE
Adjournment	58
Reporter's Certificate	59

1 P R O C E E D I N G S

2 PANEL LIAISON BEHRMANN: Good morning. This is
3 Jim Behrmann, Panel Liaison. Cort -- are you on the line,
4 Cort?

5 CHAIRPERSON ANASTASIO: When everyone is ready
6 and then we'll start.

7 Oh, Jim, is that you?

8 PANEL LIAISON BEHRMANN: Yes.

9 CHAIRPERSON ANASTASIO: Okay. Are we ready to
10 go?

11 PANEL LIAISON BEHRMANN: Let's begin just to see
12 who we have in terms of Panel members on the line. I'll
13 just read down the roster.

14 Dr. Hammond?

15 PANEL MEMBER HAMMOND: Present.

16 PANEL LIAISON BEHRMANN: Cort, you're on the
17 line, yes?

18 CHAIRPERSON ANASTASIO: Yes.

19 PANEL LIAISON BEHRMANN: Dr. Landolph?

20 Dr. Landolph will probably be joining us.

21 Dr. Glantz?

22 PANEL MEMBER BLANC: He's just outside the room.
23 I just told him he has to come in.

24 PANEL LIAISON BEHRMANN: Dr. Ritz?

25 PANEL MEMBER RITZ: Yes, I'm here.

1 PANEL LIAISON BEHRMANN: Dr. Blanc?

2 PANEL MEMBER BLANC: Yeah. Here's Dr. Glantz.

3 Will you say you're present?

4 PANEL MEMBER GLANTZ: I'm present.

5 (Laughter.)

6 PANEL LIAISON BEHRMANN: He is. Dr. Glantz is
7 present.

8 Dr. Besaratinia?

9 PANEL MEMBER BESARATINIA: Here. Good morning.

10 PANEL LIAISON BEHRMANN: Dr. Miller?

11 PANEL MEMBER MILLER: I'm here. Good morning.

12 PANEL LIAISON BEHRMANN: Good morning.

13 Dr. Kleinman?

14 PANEL MEMBER KLEINMAN: I'm here.

15 PANEL LIAISON BEHRMANN: Excellent. And do we
16 have Dr. Landolph?

17 Okay. Hopefully, he will be joining us. As I
18 said, this is Jim Behrmann. Here in Sacramento we have?

19 AQPSD ASSISTANT DIVISION CHIEF EDWARDS: Dave
20 Edwards.

21 AIR POLLUTION SPECIALIST TRAVERSO: Melissa
22 Traverso.

23 STAFF AIR POLLUTION SPECIALIST SCHWEHR: Beth
24 Schwehr.

25 AQPSD TOXICS INVENTORY & SPECIAL PROJECTS MANAGER

1 RUIZ: Gabe Ruiz.

2 PANEL LIAISON BEHRMANN: And we also have Claudia
3 Nagy from our Legal Office, John Budroe and Daryn Dodge
4 from OEHHA, Denise -- what was your last name?

5 MS. ODENWALDER: Odenwalder.

6 PANEL LIAISON BEHRMANN: Thank you, from ARB.
7 And let me also, Cort, just take a minute to
8 introduce Lisa -- Lori -- excuse me -- Lori Miyasato, who
9 is going to be replacing me as the Panel Liaison. I've
10 told her she did not have to say anything this morning.
11 But let me just briefly, to introduce her, summarize that
12 she has a Bachelor's degree in Biology, a Master's in
13 zoology, and a Doctorate in animal behavior from UC Davis.
14 She's been with -- including her time as a graduate
15 student, she's been with the Air Resources Board for over
16 15 years. The last 13 and a half years in the Research
17 Division. She's been a research contract monitor. In
18 fact, some of you may know her from her working with you
19 as principal investigators. She's the lead staff person
20 in reviewing air pollution neurotoxicity. And she's been
21 coordinating the Air Resources Board's comments and
22 reviewing the National Ambient Air Quality Standard
23 documents.

24 PANEL MEMBER BLANC: Well, Paul Blanc here. I
25 just want to say that her expertise in animal behavior

1 should be useful in dealing with the Panel.

2 (Laughter.)

3 CHAIRPERSON ANASTASIO: Well said. Welcome Lori.
4 We're thrilled to you have join us and we look forward to
5 working with you.

6 DR. MIYASATO: Thank you very much.

7 PANEL LIAISON BEHRMANN: And I will be sending
8 out a more complete biography of her for your information.
9 So with that, Cort, let me just Check one more time. Dr.
10 Landolph, are you on the line?

11 Okay. Hopefully, he will be joining us.

12 Cort, let me turn -- with that, let me turn the
13 meeting over to you then.

14 CHAIRPERSON ANASTASIO: Okay. Great. Thank you
15 Jim. So we have one main agenda item today. We're going
16 to really get an up date of --

17 PANEL MEMBER LANDOLPH: Hi. Joe Landolph
18 joining.

19 CHAIRPERSON ANASTASIO: Welcome, Joe.

20 So I was just going over the agenda. So we have
21 one main item today. We're going to continue our review
22 of the proposed updates to the chemical list in Appendix A
23 of AB 2588 Air Toxics Hot Spots Emission Inventory
24 Criteria and Guidelines Regulation.

25 So we're not there in person to chide you to do

1 this every time, but please be sure to speak into your
2 microphone when you talk, so that the court recorder can
3 get your words and also so people listening on the webinar
4 can you hear you clearly.

5 And with that, let's go to Agenda Item number 1.
6 So if you remember, the June 28th meeting of 2019, we
7 received an informational presentation from CARB about the
8 program. And then at our last in-person meeting on
9 October 4th, 2019, CARB staff presented a draft proposed
10 changes to the chemical substance list in appendix A of
11 the regulation, including a description of the selection
12 process used in reviewing over 1,300 substances, which
13 they did in consultation with OEHHA and DPR.

14 So you will remember we received four different
15 documents at our last meeting. And we discussed those
16 documents at the in-person meeting. What we're going to
17 do today is discuss the summary of the comments drafted by
18 CARB staff about our meeting and then talk very briefly, I
19 believe, about some public comments that we received.

20 Two days ago, we received comments from the
21 American Chemistry Council. And staff is reviewing the
22 comments. But because we have had not much time since we
23 received the comments, we're just going to get a short
24 response from them. And then at our next meeting, they'll
25 give a more detailed response to the comments. We also

1 received comments from another group just yesterday, I
2 believe it was. So those comments will also be reviewed
3 at our next in-person meeting.

4 So, Beth Schwehr, the Staff Air Pollution
5 Specialist, and Melissa Traverso, Air Pollution
6 Specialist, from the Air Quality Planning and Science
7 Division will be leading the staff discussion today.

8 I believe the Panel received a two-page document
9 labeled continuation of the SRP review of the draft
10 proposed updates. So Melissa and Beth I believe will be
11 going over that document.

12 So, Beth, I'm going to turn it over to you.

13 STAFF AIR POLLUTION SPECIALIST SCHWEHR: Thank
14 you Cort. I'm going to let Melissa start this and then
15 we'll tag team this.

16 CHAIRPERSON ANASTASIO: Okay. Sounds great.

17 AIR POLLUTION SPECIALIST TRAVERSO: Thanks, Cort,
18 for the introduction. We'd like to kick-off the
19 continuation of the Panel's review of the draft proposed
20 updates to the AB 2588 Appendix A list of chemical
21 substance by going over some of the key points that we
22 presented at the June 28th and the October 4th Scientific
23 Review Panel meetings. We'll then present a brief update
24 on the work we have conducted to address the Panel's
25 comments and recommendations, and go over the next steps

1 and anticipated timeline.

2 As you may recall at the June 28th SRP meeting --

3 PANEL MEMBER GLANTZ: So excuse me. This is
4 stan. If there are any slides, we're not seeing them.
5 We're seeing like fog, and deer, and water on the beach.

6 (Laughter.)

7 PANEL LIAISON BEHRMANN: Dr. Glantz, this is Jim
8 Behrmann. There are no slides. There are just -- you
9 would have received the two-page --

10 PANEL MEMBER GLANTZ: Okay.

11 PANEL LIAISON BEHRMANN: -- the two pages titled
12 Continuation of the SRP review. Do you have that?

13 PANEL MEMBER GLANTZ: Okay. Yeah, we did -- we
14 did get that. But if there are no slides, I'm going to
15 turn the computer off, because watching these deer walk
16 around is very pretty, but it's kind of distracting.

17 PANEL LIAISON BEHRMANN: That's fine. And also,
18 let me mention for the benefit of persons watching the
19 webcast, the -- both sets of comments received from the
20 American Chemistry Council and also the two-page handout,
21 the one that we're referring to right now, all of those
22 documents are posted on the Scientific Review Panel
23 webpage. And with that, let me turn it back to Melissa.

24 AIR POLLUTION SPECIALIST TRAVERSO: Okay. So at
25 the June 28th, SRP meeting, we provided you with a

1 presentation, in which we informed you about our plans to
2 update the Emission Inventory and Criteria Guidelines
3 Regulation. A key piece of these guidelines is Appendix
4 A, which provides a list of chemical substances that may
5 pose chronic or acute health threats when present in air,
6 and which must be reported as part of a facility's
7 emission inventory.

8 At the October 4th meeting, we presented the
9 proposed updates to Appendix A chemical list and asked for
10 the Panel's input in the form of three questions about any
11 important chemicals missing from the proposed list, the
12 functional groups being proposed by CARB for the inclusion
13 on the list, and whether any chemicals on the, "Not
14 Proposed for Inclusion" list should be added.

15 I'd like to refer you to the handout that you
16 received yesterday, as we provide an update on the work we
17 have conducted to address your comments and
18 recommendations.

19 Our first question was are we missing any
20 important air toxic chemicals from the proposed list?

21 The Panel suggested that we check American
22 Conference of Governmental Industrial Hygienists, ACGIH,
23 values for chemicals to be included. We reviewed the
24 ACGIH list of substances having TLV values as suggested by
25 the Panel. We confirmed that we had already accounted for

1 many of the ACGIH chemicals, either on the existing
2 Appendix A list in the Emission Inventory Criteria and
3 Guidelines, or being covered in our proposed updates to
4 the list that we have shared with the Panel.

5 We identified what additional substances there
6 are on the ACGIH list and we are continuing our evaluation
7 of whether any of these should be included in our proposed
8 updates to Appendix A.

9 We noted that there are a number of pesticides
10 among the additional ACGIH substances. And for
11 pesticides, we have already been working with the
12 California Department of Pesticide Regulation, DPR, to add
13 the pesticides that are registered for use in California
14 and which have reported usage in the Pesticide Use
15 Reports.

16 So we aren't anticipating that the ACGIH list
17 would result in many new pesticides. We have found that
18 there are some other substances on the ACGIH list that may
19 warrant being added to our proposed update.

20 We're still in the process of evaluating these in
21 consultation with OEHHA. As one example, we noted that
22 ACGIH includes another hexavalent chromium-containing
23 compound that had not been previously addressed.

24 Likewise, the Panel suggested that we check the
25 NIOSH list and the Kent Olson handbook. And the Panel

1 also suggested that perhaps the recent Environmental
2 Health Perspectives article by Barupal and Fiehn could be
3 helpful as a cross-reference of many chemicals.

4 We have reviewed the NIOSH list and have found
5 that there may be some substances that I've not yet
6 addressed in our review process. We plan to work with
7 OEHHA to review these substances to determine whether or
8 not they meet our evaluation criteria to add to Appendix
9 A.

10 The Kent Olson handbook, as well as the
11 Environmental Health Perspectives article, include many
12 oral poisons, specialized chemicals, and pharmaceuticals.
13 Many of these may not be relevant to the AB 2588 type of
14 facility reporting or may not meet our evaluation criteria
15 regarding recognized toxicity and the potential to be
16 airborne in California. So we are continuing to consult
17 with OEHHA to evaluate both the NIOSH and Olson lists.

18 The Panel suggested that all IARC group 1 and
19 group 2, 2A, and 2B chemicals should be on our list. So
20 staff confirmed that all IARC group 1 substances have been
21 included on our lists either under Appendix A1, A2, or A3.

22 In addition, we have reviewed all the IARC 2A and
23 2B substances and they have been included on our list, if
24 they meet our selection criteria as mentioned earlier.

25 The Panel also asked that we expand cobalt to

1 consider both insoluble and soluble forms. The answer to
2 that is yes. Our plan is to align with OEHHA's new
3 structure of the cobalt health values, including both
4 insoluble and soluble forms.

5 In addition, the Panel asked us to consider
6 adding rare earth metals and metals using -- used in
7 catalytic converters. Based on the SRP suggestion, we
8 will likely propose to add a number of rare earth metals
9 and metals associated with catalytic converters. However,
10 we don't anticipate that there are likely to be many cases
11 of facilities subject to the AB 2588 Hot Spots Program,
12 who would need to report them.

13 The Panel suggested we reach or check the E REACH
14 chemical list regarding restricted chemicals or that
15 achieve a certain status. We had previously considered
16 some chemicals from the E REACH list. And based on the
17 Panel's suggestion, we have reviewed the E REACH chemical
18 list more thoroughly. We have identified and added all
19 the persistent and bioaccumulative toxicants that we think
20 would be of concern in California and we are in the
21 process of evaluating some of the other substances of
22 concern on the restricted chemical list.

23 Moving forward, the Panel suggested we consider
24 adding carbonyl compounds that are used as flavoring --
25 flavorings for vaping. In our review, we found that many

1 of the substances associated with vaping are aldehydes.
2 Many aldehydes are already on the existing list and we
3 have further reviewed many additional aldehydes, and have
4 evaluated a number of individual ones to be added to our
5 list.

6 However, based on our review of the available
7 literature, in our consultation with OEHHA, it appears
8 that not all aldehydes, or related carbonyls, can be
9 considered to be necessarily toxic. So we are not
10 anticipating we would want to add a broad general group
11 for aldehydes, but rather continue to address individual
12 chemicals of concern. And, of course, we are open to any
13 further guidance that the Panel may have.

14 The Panel also suggested we consider carbon
15 nanoparticles, nanotubes, and nanofibers. We are aware
16 that this is an emerging field that we will need to
17 continue to track. For our current proposal, we have
18 focused primarily on established authorities like IARC in
19 order to decide which nanoparticle-related substances to
20 include.

21 We have added multi-walled carbon nanotubes,
22 other than multi-walled carbon nanotube 7 as listed by
23 IARC to our proposed update to Appendix A1, which is the
24 list for which emissions must be quantified. And we are
25 open to any further guidance that the Panel may have.

1 At this point, we could pause for any further
2 Panel discussion on this question number one and then Beth
3 will continue with discussion of question number two.

4 PANEL MEMBER HAMMOND: This is Kathy Hammond.
5 Thank you very much. This is very, very informative. I
6 would very much appreciate what you've just said in
7 writing, because there's a lot of information there and I
8 won't remember it for later. And I was not able to keep
9 up taking notes, as you were going through it. So that's
10 the first thing.

11 And then the second thing is, and maybe you'll
12 have this later, I would like to know the chemicals.
13 Like, if you go back to the ACGIH is one example, I'd like
14 to actually see the listing of the chemicals that you
15 decided were not on the list that you're considering, and
16 then which of those that weren't on the list that you're
17 considering you decided were not -- you were not going to
18 put on the list in which you were.

19 So in other words, more of the details. So both
20 what you've said so far and more details by chemicals.
21 The same with the EU REACH chemicals through all of that,
22 if that's possible.

23 So that's my first comment. And do you want to
24 say anything, and then I have a second.

25 AIR POLLUTION SPECIALIST TRAVERSO: Sure. That

1 would -- I think that would be possible for us to provide
2 you with what I just went over in more detail and then
3 also the list -- the ACGIH list of substances that we are
4 considering to add.

5 PANEL MEMBER HAMMOND: Okay. Great. And then
6 the second thing is you commented that not all carbonyl
7 compounds are toxic. I'd be very interested in knowing
8 what carbonyl compounds are not toxic. Because I think,
9 as a functional group, it is in and of itself a reactive
10 compound, and on what basis you decided a carbonyl
11 compound was not toxic. So if you have some of those
12 compounds, I'd like to know them.

13 AIR POLLUTION SPECIALIST TRAVERSO: Okay. I'm
14 turning to Beth to see if she knows any particular
15 chemicals

16 STAFF AIR POLLUTION SPECIALIST SCHWEHR: We'll
17 gather some of our references together. There's a couple
18 of papers that we looked at since our last meeting. And
19 some of -- one of them is about the molecular mechanisms
20 of aldehyde toxicity, chemical perspective, and we'll look
21 at some other things.

22 PANEL MEMBER HAMMOND: Well, that sounds very
23 interesting and I'd love to have you share that with me.

24 STAFF AIR POLLUTION SPECIALIST SCHWEHR: Yeah, so
25 we'll -- we'll be consulting --

1 PANEL MEMBER HAMMOND: So I can be educated.
2 I've always -- all of you always educate me, and I just
3 need to continue to be educated.

4 Thank you.

5 STAFF AIR POLLUTION SPECIALIST SCHWEHR: Yes.
6 And we'll consult further with our partners at OEHHA and
7 see what we can come up with to give you some information
8 on which ones we're choosing and how we're -- how we're
9 looking at that.

10 PANEL MEMBER HAMMOND: Thank you.

11 PANEL MEMBER KLEINMAN: Lori, this is Mike. Two
12 questions. One is when you look at the ACGIH list, did
13 you also look at the list of under-study compounds. These
14 are compounds which are not necessarily in the book yet,
15 but are being considered for a guideline.

16 And the other question is related to the
17 catalytic metals. Are there facilities in California
18 where they process these catalytic metals, especially to
19 reclaim, you know, the precious metals that are in them.

20 STAFF AIR POLLUTION SPECIALIST SCHWEHR: This is
21 Beth. We are -- let me address the under studied
22 compounds question. I think we focus primarily on the
23 list from ACGIH that had TLV values. We can go back and
24 take a look at that. I wasn't as aware of the under
25 studied list, but we'll go back and look at that.

1 On the catalytic converters, one of the things we
2 were thinking would have a concern would be the -- like
3 the metal scrap recycling places, that sort of thing. But
4 our understanding is that because they are pretty
5 valuable, most of the catalytic converters are taken off
6 before cars are crushed and that sort of thing. But
7 whether there are any facilities that are actually doing
8 some of the actual processing of those in California,
9 we'll have to double check.

10 PANEL MEMBER KLEINMAN: Okay. Because with the
11 lead industry, you know, reclaiming lead batteries and
12 things like that has become an issue. And -- so while
13 we're talking about reclaiming, is there any -- any
14 industry application right now for reclaiming lithium
15 batteries, and alkaline batteries, and that sort of thing
16 in California?

17 STAFF AIR POLLUTION SPECIALIST SCHWEHR: I
18 understand that there is a growing industry in that. We
19 have some other groups at the Air Board that would be
20 familiar with that and we'll double check with them about
21 whether any of those facilities are looking like they're
22 coming into California.

23 PANEL MEMBER KLEINMAN: Thank you, Beth.

24 CHAIRPERSON ANASTASIO: Great. Thank you. Any
25 other comments, Panel?

1 Okay. If not, Beth, do you want to continue with
2 number two?

3 STAFF AIR POLLUTION SPECIALIST SCHWEHR: Thank
4 you. Yes.

5 All right. So the second question was are the
6 functional group characterizations for emerging chemicals
7 appropriate and adequate? Are there other functional
8 groups to add?

9 The Panel's feedback on this question was that
10 the functional group's approach is a good idea. In
11 addition to this three proposed functional groups, the
12 Panel suggested that staff explore whether to include some
13 possible additional groups. And we'll summarize our
14 thoughts so far on these.

15 One was suggested that freons and other
16 fluorinated chemicals. We have already included as one of
17 our proposed functional groups many subclasses of the per
18 and polyfluorinated substances. And we also include many
19 individually listed fluorinated substances.

20 We're continuing to review these types of
21 chemicals, including the freons, HCFCs, and related
22 fluorinated chemicals. It's our understanding that not
23 all freons, or other fluorinated compounds, necessarily
24 have toxicity concerns that would warrant their inclusion.
25 So creating a functional group class for the freons and

1 other fluorinated chemicals may be too broad and may not
2 be appropriate.

3 Many CFCs, HCFCs, and HFCs are covered by bans
4 and phase-outs as well, such as the Montreal Protocol. So
5 rather than a functional group approach, we have covered
6 individual freons and fluorinated chemicals. That have
7 recognized toxicity per the source list specified by AB
8 2588. Going forward, we will continue to explore, and
9 track this topic, and, of course, are open to your
10 suggestions.

11 Methylating agents were another suggestion. We
12 explored the possibility of adding this class as another
13 functional group. But it appears that the exact chemical
14 structure of each molecule can greatly affect the
15 electrophilic properties, and the availability and
16 strength of the methylating character and enhance its
17 toxicity. It appears it would be very difficult to
18 adequately and clearly define a group to address those
19 with methylating potentials warranting concern.

20 Instead, we have reviewed additional data for
21 individual known methylating agents, and we do plan to
22 propose adding several of these to our Appendix A update
23 list. Some examples include iodomethane, methyl triflate,
24 diazomethane, methyl fluorosulfonate among others.

25 Aldehydes were another suggestion. We've talked

1 a little bit about them just now. Many aldehydes are
2 already individually listed and we explored the
3 possibilities for a functional group approach for the
4 aldehydes. But from what we've seen so far, the available
5 research and structure activity tools indicate that the
6 overall molecular structure strongly affects the toxicity
7 of the aldehyde. And mechanisms are not understood yet
8 well enough to clearly and straightforwardly define a
9 class or subclasses, for those that warrant concern.

10 So at this time, we've taken a
11 chemical-by-chemical approach for considering aldehydes
12 for proposed inclusion on the Appendix A list. We will
13 continue to track the state of Emerging data and tools.

14 Epoxides and other reactive chemicals in epoxy
15 mixes were also suggested. We considered a functional
16 group approach, but there appears to be many complications
17 that might not make it feasible. There are many epoxides
18 that are metabolic intermediates. Epoxides may be
19 generated from emissions of things like PAHs, but the
20 epoxide itself is not the emitted substance that's used or
21 released from the facility. At this time, the most
22 feasible approach appears to be inclusion of specific
23 substances or resin systems that are identified as having
24 toxicity concerns per the source list specified in AB
25 2588. Some examples include

1 bis(2,3-epoxycyclopentyl)ether and Epikote 1055.

2 Going forward, we will continue to explore and
3 track this topic, and in particular, we are interested in
4 data on any stable epoxides used in industry. Other
5 categories of PAHs, for example, nitro-PAHs or polycyclic
6 aromatic quinones, were suggested.

7 We addressed many individual PAHs, as well as
8 various substituted and PAH derivative compounds already
9 on the list, and we are proposing to add others. Simple
10 group headers can also be useful to organize closely
11 related chemicals together for display purposes on the
12 list in order to provide context. For example, it can be
13 helpful to organize the various individual nitro-PAHs
14 together when listing them.

15 However, from the available data, not all
16 pilot -- polycyclic aromatic chemicals can be presumed to
17 have toxicity warranting their inclusion on the list
18 within a broad functional group type class.

19 We have proposed one PAH related functional group
20 for inclusion, which covers any polycyclic aromatics
21 containing a halogen atom, chlorine, fluorine, bromine, or
22 iodine, because anything in this class can be reasonably
23 expected to have toxicity concern warranting inclusion.
24 In our review so far, we have not been able to identify
25 any other subclasses that appear feasible for a functional

1 group approach.

2 CHAIRPERSON ANASTASIO: Beth, can you say
3 something about strobins?

4 STAFF AIR POLLUTION SPECIALIST SCHWEHR: Yes.
5 Strobins, which include the fungicides used in purple
6 drywall, were also suggested. We are considering adding
7 individual examples of strobins. However, we have not
8 found adequate data for us to define a broad functional
9 group class at this time.

10 Do you want to pause here for questions on --
11 comments on question two?

12 CHAIRPERSON ANASTASIO: Yes, please.

13 Panel, comments?

14 PANEL MEMBER BLANC: So Paul Blanc here. I think
15 your comments point out that there are two ways that you
16 can inform your process by functional groups. And I think
17 one of them is, as you alluded to, is using the functional
18 group as a red flag for you to identify individual
19 chemicals that you're going to list individually. And I
20 think that's -- that's perfectly appropriate, and that's
21 fine to do.

22 So, for example, if in your review you find that
23 something is a methylating agent, and it's a potent
24 methylating agent, it would be a criterion for you to then
25 consider it for listing, assuming it meets your other --

1 your other criteria such as it gets into the air and it's
2 used in the state and so forth.

3 And the other flip side of why you -- when you
4 should think about functional groups is in areas where you
5 anticipate the industrial modification of materials is so
6 rapid and changing that it's not going to be possible for
7 you to get a list, which is going to be sufficiently
8 inclusive. That is to say that you may have a list of
9 what's on -- in use as of December 1st, and March 1st
10 there's going to be a new one you could anticipate.

11 So in the list as you go through it, I think
12 that's worth thinking about. And of all of the ones that
13 you've just gone through and none of which are feasible in
14 your assessment to use as a functional category, I think
15 the one that I wonder about most would be the freons and
16 other polyfluorinated materials, because those -- I
17 understand your point that they're not all equally
18 hazardous, and that some of them are being phased out.

19 But that is an area in which things change
20 industrially. And I'm not contradicting your decision not
21 to have it as a functional group, but I'm saying those are
22 the -- to me, the two sides of the question you should
23 think through. I have no problem with doing it chemical
24 by chemical, if it's feasible to do and it's probably
25 cleaner. As long as you don't think that there's such

1 instability in what's being manufactured that you're going
2 to have problems.

3 I mean, that's been -- that's your rationale
4 really for the isocyanate functional group, which you are
5 retaining, if I remember, is that correct?

6 STAFF AIR POLLUTION SPECIALIST SCHWEHR: That's
7 correct.

8 PANEL MEMBER BLANC: So that's a great example.
9 Isocyanates, you know, every week they come up with some
10 new variant isocyanate. And for a lot of them, in fact,
11 that's been a problem why NIOSH doesn't have recommended
12 levels for many of them, because they just haven't kept
13 up.

14 And that's a good example where the ACGIH does
15 have criteria for some of them that actually NIOSH
16 doesn't. So I just -- it's just an observation. It's not
17 a directive in any way. It's just what I think would be a
18 useful way of organizing your thinking going forward.

19 STAFF AIR POLLUTION SPECIALIST SCHWEHR: Thank
20 you. That's very helpful and we will continue to really
21 look at that.

22 CHAIRPERSON ANASTASIO: Other comments?

23 PANEL MEMBER GLANTZ: Yeah. This is Stan. I
24 have one -- just to tag on to what Paul said. If you're
25 trying to make this list looking forward, you might have a

1 sort of secondary list of things which are not currently
2 being used in California, but that if somebody starts
3 using them, you want to know it. So you don't go through
4 the whole effort of coming up with risk numbers, but it's
5 something that, you know, could potentially be toxic. If
6 it's introduced then, at that point, it would -- might be
7 a subject of more careful scrutiny.

8 I mean we're seeing this in looking at how the
9 FDA has been handling toxins and e-cigarettes. They have
10 a list of bad things in cigarettes. So what the tobacco
11 companies are doing is engineering the products to avoid
12 things on that list, and then -- but in the process of
13 doing it, a whole bunch of other bad things are being
14 increased.

15 So I think since these -- you know, people do
16 look at these lists in terms of making management
17 decisions. And so just to have something with it that
18 might be a potential concern, if they start using it. It
19 might be good thing to at least put industry on notice of.
20 I don't think -- I agree that you don't want to go do a
21 full blown analysis of things that aren't currently in
22 California. But if something is coming, it would be good
23 to know it.

24 CHAIRPERSON ANASTASIO: Thank you, Stan.

25 Other comments?

1 PANEL MEMBER KLEINMAN: This is Mike. Just
2 thinking that -- excuse me -- compounds like the HCFCs
3 were created because they react in the atmosphere and
4 don't reach the stratosphere. What do we know about
5 derivatives of some of these compounds? And it may be
6 that even though the parent is not very toxic, a
7 derivative might have more toxicity associated with it.

8 STAFF AIR POLLUTION SPECIALIST SCHWEHR: We're
9 going to bring John Budroe from OEHHA here.

10 DR. BUDROE: Good morning, Dr. Kleinman.

11 One thing you want to remember when talking about
12 this is you're looking at chemicals that are likely to be
13 emitted in California. If you're talking about a
14 derivative, that's something different. Technically
15 speaking, it's not something that's going to be emitted
16 from a facility.

17 CHAIRPERSON ANASTASIO: That's an interesting
18 question though, John. I mean, if the initial emission is
19 not toxic, but it makes a highly toxic product in the
20 atmosphere, that could not be regulated under 2588?

21 DR. BUDROE: That could be, but where I'm going
22 to is more without having that kind of information in
23 mind, at what point do you make the list so large. You
24 know, you -- say you have a -- given freon, how many
25 different degradation products can you have and you list

1 all of them?

2 CHAIRPERSON ANASTASIO: Yes. Yeah, I mean it
3 definitely makes things more complicated.

4 PANEL MEMBER BLANC: Isn't that the whole
5 rationale for the functional group approach? Maybe I'm --
6 maybe I'm missing it. But my understanding was so that
7 they didn't clutter it up with a gazillion variant things.
8 For certain functional groups, they would just say if it's
9 not otherwise listed, but it's got this functional group,
10 you're going to have behave accordingly. But maybe I
11 misunderstood the whole goal of the functional group
12 approach.

13 STAFF AIR POLLUTION SPECIALIST SCHWEHR: This is
14 Beth Schwehr. No, that's correct, Dr. Blanc. And, yes, I
15 think, to some extent, we would definitely consider that
16 if something is emitted, but it does form something else,
17 we could certainly consider that. We'll talk more with
18 our legal folks about it, but I think that that's
19 something that we've, in the past, thought that would be
20 true.

21 I think it's a balance here. We have to try to
22 figure out what we can do. And we'll also dig more into
23 some of the data about these particular compounds and just
24 see what we can come up with. And we'll consult with John
25 Budroe further about this.

1 CHAIRPERSON ANASTASIO: Thank you, Beth.

2 I have one suggestion for a possible functional
3 group category, which is peroxides. They're used as
4 radical initiators in a lot of industrial processes. And
5 I -- and at least some of them have toxicity. I don't
6 know if there's enough uniform toxicity across the group
7 that you could list them as a functional group. But it's
8 at least worth considering looking to see what is emitted
9 and what the toxicities are of some peroxides.

10 STAFF AIR POLLUTION SPECIALIST SCHWEHR: Great.
11 Thank you for that suggestion.

12 CHAIRPERSON ANASTASIO: Any other comments on
13 item number two?

14 PANEL MEMBER KLEINMAN: This is Mike. Just one
15 other thought in terms of aggregating. Sometimes we could
16 look at things, you know, in terms of categorizing them on
17 a specific biological response. For example, compounds
18 that are respiratory sensitizers, if -- you know, which
19 may be sort of the critical endpoint, and, you know, in
20 determining, you know, what would be allowable. And it
21 might be useful to see if things that are -- you know,
22 fall from this category of respiratory sensitizers could
23 somehow be a way of aggregating and coming up with
24 something -- you know, a common level that might be
25 helpful.

1 STAFF AIR POLLUTION SPECIALIST SCHWEHR: Thank
2 you for that suggestion. And I will say that as we've
3 been evaluating chemical by chemical during our review, if
4 we saw any evidence of sensitization as one of the
5 endpoints, we definitely gave that chemical high priority.

6 CHAIRPERSON ANASTASIO: All right. Thank you,
7 Mike.

8 Any final comments on number two?

9 If not, let's move on to number three.

10 STAFF AIR POLLUTION SPECIALIST SCHWEHR: Thank
11 you. Our third question was are there any chemicals on
12 the "Not Proposed for Inclusion" list that should be
13 included in one of the Appendix As?

14 The Panel suggested we consider a wide vapor
15 pressure range for substances that might partition into
16 the gas phase or otherwise become airborne. We have
17 definitely tried to take this into consideration during
18 our case-by-case review throughout our chemical substance
19 review. We have not limited inclusion based on applying a
20 rigid vapor pressure range.

21 For example, we have included some solids where
22 there may be a mechanism whereby it could become airborne,
23 such as through fugitive dust releases.

24 CHAIRPERSON ANASTASIO: Yeah. Sorry. Continue,
25 Beth.

1 STAFF AIR POLLUTION SPECIALIST SCHWEHR: Should
2 we just continue?

3 CHAIRPERSON ANASTASIO: No, sorry. Anything else
4 on three that you were going to say?

5 STAFF AIR POLLUTION SPECIALIST SCHWEHR:
6 That's -- that was all I had for that.

7 CHAIRPERSON ANASTASIO: Okay. I think this is my
8 comment in the meeting. And it came about because I think
9 you had stilbene listed on the "Not Proposed for
10 Inclusion". And I thought it was because of the vapor
11 pressure was considered to be too low. But I think
12 stilbene is -- probably has sufficient vapor pressure that
13 it's still going to get airborne in a facility and still
14 get emitted potentially, and then maybe it will partition
15 to particles in the colder ambient air. So that would be
16 one specific one I would suggest you look back at.

17 STAFF AIR POLLUTION SPECIALIST SCHWEHR: Thank
18 you. We will.

19 PANEL MEMBER BLANC: Paul Blanc here. Yes. I
20 was just going to say your general approach I think is
21 wise. And even in addition to that, I would say that
22 aside from solid particles, scenarios that are very likely
23 to generate liquid aerosols would equally be appropriate.
24 I mean, I think that Kathy could comment on this in
25 greater detail than I, but this has been a big issue with

1 isocyanates, which have been promoted by the industry as
2 being not a big deal because they don't vaporize.

3 But unfortunately, most of the activities that
4 they're used in very effectively generate aerosols. So
5 the issue, the vapor pressure out of context is almost
6 irrelevant.

7 PANEL MEMBER KLEINMAN: Yea, this is Mike.

8 PANEL MEMBER HAMMOND: I think you said -- you
9 said it well, Paul. This is Kathy. You've said it fine.

10 PANEL MEMBER BLANC: Thanks.

11 PANEL MEMBER HAMMOND: And I totally agree with
12 you.

13 PANEL MEMBER KLEINMAN: Yeah, this is Mike. One
14 of the things you might look at when you look at the ACGIH
15 list, they have a category -- you know, when they set a
16 guideline, they also specify how it is to be determined.
17 And they've developed a -- you know, I don't know how good
18 it is, but a rule for determining which compounds will
19 have some appreciable exposure potential as a vapor and as
20 a particle. So if you look at the notations on the ACGIH
21 list, it will have IPV, inhalable particle and vapor. And
22 that may be a good way to flag some of these compounds,
23 you know, that might have a vapor component to it.

24 STAFF AIR POLLUTION SPECIALIST SCHWEHR: Great
25 suggestion. Thank you. And I will add, we also, when we

1 were reviewing individual chemicals, we would look at the
2 usage that is expected and predominant for those
3 chemicals. And if we saw things like it's used in a hot
4 environment, like a classic one, is something that would
5 be used on a -- sprayed on a hot automobile engine, for
6 example, that kind of thing we know would volatilize. So
7 yes, we're thinking of those things. But these are
8 excellent suggestions for some additional systematic
9 review.

10 CHAIRPERSON ANASTASIO: Any other comments about
11 three?

12 Okay. If not, let's move on to four.

13 STAFF AIR POLLUTION SPECIALIST SCHWEHR: Okay.
14 The 4th question was that in addition to providing input
15 on our questions, the Panel also offered other general
16 comments.

17 So I'll go through some of these. And I'm going
18 to group a couple things here. Why not include cardio and
19 pulmonary toxicants as a separate category? And it would
20 be good to see the existing list broken down into the same
21 categories that we were showing for the proposed list.
22 And we might want to consider including other organics as
23 a category.

24 In response to these three suggestions, I want to
25 say that it's important to revisit our original reason for

1 categorizing the substance list. In order to facilitate
2 the review of this rather lengthy list, we heard
3 suggestions to identify some key types of categories.
4 Some were regarding types of health outcomes of particular
5 interest to the Panel members, such as cancer, versus
6 developmental effects, versus neurotoxin effects. Some
7 were regarding types of chemicals, such as metals and
8 other inorganics. And some were regarding types of uses,
9 such as pharmaceuticals versus pesticides.

10 It was not our intent to try to provide a
11 comprehensive framework of all types of effects and
12 categorization. For example, for health effects, our main
13 focus was on simply tagging the effects that are
14 officially identified by some of these source lists from
15 which they were derived, for example, flagging the Prop 65
16 cancer and DART categories, which are assigned under Prop
17 65 itself.

18 For other types of effects, such as cardio and
19 pulmonary toxicants, none of the source lists provide an
20 authoritative categorization of that. And we ourselves do
21 not have expertise in making those categorizations.

22 The Panel also suggested that we add columns for
23 the health outcomes, the chemical types, and source lists.
24 And the Panel indicated they would like to see the list
25 combining both the existing and the proposed new entries.

1 In response to these requests, we are in the
2 process of creating and populating columns that do flag
3 and categorize the health -- key health outcomes and the
4 types of chemicals that we've discussed.

5 Columns were already included in the source
6 lists -- for the source lists where the chemicals come
7 from, as well as available information regarding the
8 predominant uses of the new substances. So we are working
9 on extending these features to both the existing and
10 proposed new substances.

11 We're also currently working on combining the
12 existing Appendix A list with our list of proposed new
13 additions. This will facilitate the review process and
14 provide context with the overall list. The process is
15 time-consuming, because careful integration also involves
16 resolution of any seeming duplicates with overlapping
17 substances -- substance names and any seeming duplicate or
18 conflicting CAS numbers.

19 The Panel also suggested we may want to look into
20 cannabis-related emissions. We learned at the recent
21 CAPCOA symposium that the cannabis extraction processes
22 can be quite large and quite similar to other big
23 industrial processes that use large quantities of certain
24 solvents for extraction.

25 Any of the toxic solvents used in the extraction

1 process are generally already reportable on our existing
2 Appendix A. The actual growhouses are considered as
3 agriculture by most air districts and would not generally
4 be anticipated to be subject to AB 2588 applicability
5 provisions.

6 And while most end uses involving personal
7 smoking or vaping would generally not be subject to AB
8 2588 facility applicability, we are considering the
9 possible addition of environmental cannabis related smoke
10 and environmental cannabis related vaping vapor analogous
11 to the current Appendix A1 listing of environmental
12 tobacco smoke.

13 So before I discuss the next steps and timeline,
14 are -- I want to pause here for additional discussion.

15 PANEL MEMBER BLANC: Paul Blanc here. I think
16 it's fine. I think the reason why there were comments
17 about the categories is that it wasn't entirely clear.
18 Hypothetically suppose something wasn't a carcinogen, and
19 wasn't a metal, and wasn't otherwise listed, but it was --
20 caused, you know, acute lung injury, would it -- would it
21 not appear at all? In other words, conceptually, was
22 there a category of other not elsewhere classified, which
23 you would only have to use, if you hadn't already -- to
24 be -- to avoid, you know, busy work, if there was
25 something which just didn't fit in very well anywhere

1 else. Because if you've already -- if it's already a
2 metal, but it causes lung injury, you don't have to create
3 some separate listing for a lung injury.

4 So just remind me, because I don't have the list
5 open, how are things handled which you want to list, but
6 you can't categorize in one of your existing categories or
7 has there not been such a substance?

8 STAFF AIR POLLUTION SPECIALIST SCHWEHR: We would
9 have put them into our master list, so you would
10 definitely see it in the combined master list. But for
11 the Panel's review, we had then subdivided them into
12 subfiles that we provided that were just the carcinogens,
13 for example, on request by the Panel. But we had another
14 file that we called other at that point, just to make sure
15 that you would see everything.

16 PANEL MEMBER BLANC: Okay. So that --

17 STAFF AIR POLLUTION SPECIALIST SCHWEHR: But the
18 master list definitely had everything.

19 PANEL MEMBER BLANC: Good. Well, I think that's
20 fine then. And what you might want to do also internally,
21 not to make as part of a document, and not even to share
22 with us necessarily, but just for your own
23 conceptualization, is make for yourself some kind of Venn
24 Diagram, so that you have a sense of how much you think
25 some of these things are overlapping or not overlapping.

1 Just that -- if it was helpful to you. I don't
2 need to see it, but I think that's where some of these
3 comments came from. We were thinking in Venn Diagram
4 terms and couldn't get our arms around it necessarily.

5 PANEL MEMBER GLANTZ: Yeah, I mean, I actually
6 think that is a good idea, because, I mean, there are a
7 lot of air pollutants that do have cardiac toxicity, which
8 people don't really appreciate, for example. And you
9 know, probably less so for pulmonary. But I think we want
10 to keep those endpoints visible. I mean, we don't need to
11 have the same thing listed in seven different places.

12 But taking those endpoints into account in the
13 analysis, I think is -- how you do it is up to you, but I
14 think it's something that you do need to be considering.

15 PANEL MEMBER BLANC: Well, they have this --
16 these columns -- this column check, then it will be there.

17 PANEL MEMBER GLANTZ: Right. I don't remember --
18 I don't have the whole list in front of me either, but I
19 don't remember columns for cardiac and pulmonary.

20 PANEL MEMBER BLANC: No. No. They might have
21 to -- you might have to add columns for cardio and
22 pulmonary. And I think that's what you said in the
23 comments. Rather than have a -- not a separate section,
24 but you'd have a column for it.

25 PANEL MEMBER GLANTZ: Yeah, that would be fine.

1 PANEL MEMBER BLANC: And bearing in mind that you
2 may -- you know, you may -- it may not be obvious to you
3 that there is cardio pulmonary toxicity. And then
4 somebody from our group or from somewhere else will say,
5 wait a second, you know, you should also check the column
6 on that substance.

7 STAFF AIR POLLUTION SPECIALIST SCHWEHR: Great.
8 Thank you.

9 CHAIRPERSON ANASTASIO: Any other question four
10 comments?

11 Okay. If not, Beth, you want to talk about next
12 steps.

13 STAFF AIR POLLUTION SPECIALIST SCHWEHR: Yes,
14 thanks. So I'd like to address the next steps for the
15 review of the Appendix A list of chemicals. After we have
16 satisfactorily addressed the Panel's comments and
17 recommendations from the October 4th and today's meetings,
18 CARB would like to request a letter of support for a
19 similar document memorializing the Panel's general
20 approval of the proposed updates to the list and any
21 additional directions provided to CARB staff at these
22 meetings.

23 CARB staff would then initiate the formal
24 rulemaking process to amend the Emission Inventory
25 Criteria and Guidelines Regulation. And we will apprise

1 the Panel of any additional changes arising from the
2 public process.

3 CARB staff may request a final letter of support,
4 if there are substantive changes to the Appendix A
5 chemical list. And the anticipated timeline for these
6 next steps would be as follows:

7 The Panel would provide an initial letter of
8 support by say February of 2020. We expect to start the
9 public workshops on the Emission Inventory Criteria and
10 Guidelines Regulation updates in spring of 2020. We
11 anticipate taking the amended regulation to the Board in
12 late 2020. CARB and the SRP will revisit Appendix A
13 chemical list to draft a final letter of support as
14 needed.

15 And that -- that concludes what I had wished to
16 present.

17 Is there any further discussion?

18 CHAIRPERSON ANASTASIO: Yeah. I think the letter
19 of support is a good idea. And I like Kathy's suggestion
20 of a detailed -- you know, essentially what you said
21 orally today. It would be nice to have that in written
22 form. And then I think based on that and our comments
23 today, we can come up with a letter of support from the
24 Panel.

25 I don't know, Jim, do you have any thoughts about

1 that process?

2 PANEL LIAISON BEHRMANN: I think your suggestion
3 is a good one. We plan to also meet with our legal staff
4 to assure that the form of the Panel's communication is
5 proper.

6 CHAIRPERSON ANASTASIO: Okay. Good.

7 PANEL MEMBER BLANC: Yeah. Jim, Paul Blanc here.
8 I think that was what I was going to ask is from a
9 regulatory point of view, since this is different than the
10 task of commenting on a proposed document saying that
11 we've reviewed it scientifically and found it to be
12 appropriate. It's -- which is a regulatory role that's
13 clear for the Scientific Review Panel. The legal input
14 should be just as you indicated, that what is it actually
15 that we're doing, and is it -- how do we make that within
16 the scope of our -- our delineated responsibilities.

17 SENIOR ATTORNEY NAGY: Good morning, Panel. This
18 is Claudia Nagy. I'm one of the lawyers here.

19 I can offer some initial thoughts. Because you
20 are a Bagley-Keene, a body, only a subquorum can meet
21 outside of the public forum to draft the letter, if you
22 wish to do so. And then the draft letter could be
23 presented to the full Panel at a public meeting, and the
24 Panel can vote on the -- or decide to approve the letter.

25 Alternatively, we can -- staff can perhaps draft

1 a letter and send it to the Panel for review before the
2 public meeting. And that is acceptable under
3 Bagley-Keene, as long as we make that letter available to
4 the public at the public meeting.

5 So those are some options. But a quorum of the
6 Panel, which would be five members, cannot meet outside of
7 the public forum. That would be prevented by
8 Bagley-Keene.

9 PANEL MEMBER GLANTZ: Well, this is Stan. I mean
10 what I would suggest is that we follow the protocol we use
11 on a lot of the reports. And that is maybe to designate a
12 couple -- you know, two, maybe three, Panel members as the
13 leads to work with staff to draft the letter, so it's not
14 a quorum. But you -- if you pick like the two or three
15 most knowledgeable people, which for this task would not
16 include me, because I'm not a chemist.

17 PANEL MEMBER BLANC: But I thought curmudgeon was
18 a talent.

19 PANEL MEMBER GLANTZ: Well, that too, but I can
20 do that later.

21 (Laughter.)

22 PANEL MEMBER GLANTZ: But I think -- I think
23 rather than just leaving the staff to do it all by
24 themselves or, you know, maybe just one Panel member, I
25 think, you know, these are complex issues and there is a

1 lot of expertise on the Panel. So if the Chair or the
2 Panel were to designate two or three people to work with
3 staff in drafting the letter, that would move the process
4 forward.

5 SENIOR ATTORNEY NAGY: And that would be
6 acceptable from a Bagley-Keene perspective. Yes, that
7 would be --

8 PANEL MEMBER GLANTZ: Yeah, and that -- and
9 that's how we've done in things in the past.

10 PANEL MEMBER BLANC: But I think you skipped what
11 is really my question is that normally what we're doing is
12 not writing letters of support, we are writing an opinion
13 as to whether a document is appropriate scientifically.
14 That's not a letter of support.

15 PANEL MEMBER GLANTZ: Right. Well, I think
16 that's what we ought -- that's what we ought to do is we
17 should actually say we agree this is appropriate
18 scientifically.

19 SENIOR ATTORNEY NAGY: When the sub --

20 PANEL MEMBER GLANTZ: So what I think the
21 letter -- I think the letter ought to be structured the
22 way, you know, we do it --

23 PANEL MEMBER BLANC: Of findings.

24 PANEL MEMBER GLANTZ: Of findings. Yeah, it
25 should be modeled I think on our findings letters.

1 PANEL MEMBER BLANC: But do we actually -- can we
2 presume that we are empowered to have findings for this
3 process. That's -- it's really a very technical question
4 I'm asking. And it's not because I'm opposed to us
5 opining, but I just want to make sure that we're not
6 overstepping in this process what -- or taking upon
7 ourselves a role which falls outside of what we are
8 instructed to do generally empowered to do, delegated to
9 do. And I think for that we need some legal comment.

10 SENIOR ATTORNEY NAGY: My legal comment would be
11 that findings are not required in this case. I looked at
12 the Health and Safety Code just recently. Findings are
13 only -- formal written findings are only required if the
14 Panel is evaluating the Health Impacts Report.

15 So in this case, if the Panel wishes to provide a
16 document and call it findings, I don't see any problem
17 with that. However, findings -- formal findings are not
18 required.

19 PANEL MEMBER BLANC: But they're allowed, you're
20 saying?

21 SENIOR ATTORNEY NAGY: They are allowed, sure.

22 PANEL MEMBER BLANC: Okay. That's all I wanted
23 to know.

24 PANEL MEMBER KLEINMAN: This is Mike. And this
25 seems like it's analogous to the process we use when we

1 talked about the risk assessment guidelines. We looked at
2 the scientific basis for how those guidelines were
3 established, and then, you know, provided findings on the
4 scientific issue. So I agree with Stan, this is probably,
5 you know, a way that the Panel has been comfortable in
6 operating.

7 SENIOR ATTORNEY NAGY: And you may wish to --

8 PANEL MEMBER GLANTZ: Yeah, I think -- I think
9 the point Mike is making that this is very much analogous
10 to the -- I mean, we've done -- you know, processed
11 several reports, where we developed risk assessment
12 guidelines. There's been three or four of them. And this
13 to me -- and we've done a couple of priorities documents
14 too. And so I think this is very much in that spirit. So
15 we should look to that as the model for what we did.

16 PANEL MEMBER BLANC: I guess I also would
17 appreciate input from the Panel members on the call who
18 haven't spoken yet today.

19 CHAIRPERSON ANASTASIO: Specifically as to what,
20 Paul, as to the nature of the SRP response to CARB?

21 PANEL MEMBER BLANC: Well, they haven't commented
22 on the individual things. I'll let that go. But now
23 we're talking more globally about our role in opining on
24 the scientific process used. And that we -- we will give
25 an interim approval of that. And I want to make -- I

1 personally would want to hear from my fellow panel members
2 who haven't spoken, whether that general direction they're
3 comfortable with. Like Michael, and Stan, and I have, by
4 our comments, generally -- and yours, generally suggested
5 that that's an acceptable way to go. But I think the
6 others, Dr. Ritz, for example, should speak up.

7 CHAIRPERSON ANASTASIO: Okay. Other Panel
8 members, your thoughts about a findings letter?

9 PANEL MEMBER BESARATINIA: Yes, this is Ahmad.
10 I'm still a little bit unclear about the purpose of this
11 initial letter of support, what purpose it's going to
12 serve. What is -- is it a requirement at this stage?

13 CHAIRPERSON ANASTASIO: Dave or Beth, do you want
14 to speak to that.

15 AQPSD ASSISTANT DIVISION CHIEF EDWARDS: Yeah.
16 This is Dave Edwards from ARB. It's not necessarily a
17 requirement under our Health and Safety Code statute to do
18 this. However, given the sort of lifecycle of how the SRP
19 reviews many of these things, we thought that it would be
20 beneficial to allow you all to weigh in on this list,
21 because it sort of is the beginning of the lifecycle for
22 these -- for the final factors that you do review for the
23 specific chemical compounds.

24 And so given that, as opposed to doing something
25 more informational, where we just sort of show the list,

1 we were -- we wanted to have a more broader engagement.
2 And so at the end of the day, this feedback has been very
3 useful that you've given and we would want to memorialize
4 that in some way, so that when we are moving forward, we
5 have something to say for our -- in our public process
6 that we did go through this initial round of improving,
7 and updating, and solidifying this chemical list, so that
8 we can use that throughout our public process.

9 SENIOR ATTORNEY NAGY: This is Claudia again, if
10 I could just add something. You might wish to maybe call
11 it an initial letter of support in case you make
12 provisions later for an initial, you know, findings. You
13 may --

14 PANEL MEMBER GLANTZ: Yeah, I think -- I mean, I
15 think though that we should -- we could make preliminary
16 findings. In all the years I've been on this Committee
17 we've never written a letter of support. So I think -- I
18 think we should just -- we could call it preliminary
19 findings, if you want it. But I think, you know, what --
20 what we've always done is, you know, drawn some specific
21 conclusions.

22 PANEL MEMBER BLANC: Yeah, or actually interim
23 findings is perhaps more precise.

24 PANEL MEMBER GLANTZ: Yeah.

25 PANEL MEMBER BLANC: We're beyond preliminary.

1 PANEL MEMBER RITZ: So this is Beate, I --
2 hearing all this, I feel it's not the findings we are
3 adopting or saying they are fine, it's the process.

4 PANEL MEMBER BLANC: Yes.

5 PANEL MEMBER RITZ: And the process is fine.

6 PANEL MEMBER BLANC: Yes. That -- I think the
7 analogy that was raised to the process proposed for --

8 PANEL MEMBER GLANTZ: Yeah.

9 PANEL MEMBER BLANC: It's very parallel, I guess.

10 PANEL MEMBER GLANTZ: Yeah, we've gone through --
11 I mean, these are things -- in fact, I was the lead on
12 most of them, or one of the leads, where we've developed
13 like guidelines for stochastic risk modeling which is the
14 most recent one. Another -- you know, the process for
15 developing the non-cancer RELs. And so those are -- those
16 are process documents, rather than a specific this
17 chemical is -- you know, has these risks associated with
18 it. But we did issue findings saying that we think the
19 procedures embodied in the document were acceptable.

20 So I think that -- we should just -- or ARB
21 should just go back and look at that -- those records, and
22 we should just continue through an analogous process. You
23 know, it's -- I mean, as I said, I don't ever remember
24 writing a letter of support.

25 But my larger point though is I do think we --

1 that we need to Designate two or three Panel members to
2 actively work with staff in generating -- whatever we call
3 it, in generating that letter, so that we can have at
4 least some preliminary technical, you know, input into the
5 development of the letter before it comes to the
6 Panel's -- to sort of speed the process.

7 CHAIRPERSON ANASTASIO: Yeah. I think that's a
8 good idea.

9 Jim, do you think it's good to try to get the
10 leads now over-the-phone or should we do that post meeting
11 over email?

12 PANEL MEMBER BLANC: I suggest you do it post
13 meeting --

14 CHAIRPERSON ANASTASIO: Okay.

15 PANEL MEMBER BLANC: -- so that no one is put on
16 the spot in the group for -- and maybe side-bar
17 conversations that in subquorum ways we might want to
18 have.

19 PANEL MEMBER GLANTZ: Yeah, I mean, I think
20 the -- I mean, like I -- this is one that I don't feel I
21 would be appropriate, because my areas of expertise are
22 really not, you know, central to these discussions. But I
23 think, you know, the Panel does have people with different
24 specific expertises. And, you know, deciding which of
25 those areas of expertise are most relevant to moving this

1 process forward, that's how I would try to select the
2 people to do this.

3 Because, you know, we'll get to the public
4 comments, but the industry was not like wildly excited
5 about what's going on here. So I think -- and we are
6 breaking some new ground, so we want to make sure that we
7 have -- you know, the best -- you know, the most -- the
8 technically most knowledgeable people involved with
9 developing this letter.

10 CHAIRPERSON ANASTASIO: Okay. Okay. So we'll
11 plan to do it over email. I think that's a good
12 suggestion.

13 PANEL MEMBER LANDOLPH: Yeah. This is Joe
14 Landolph. I hadn't had a chance to weigh in yet. I'm
15 generally in favor of the letter with findings and, you
16 know, indication that the Panel participated in this
17 process, and is in support of final product, and perhaps
18 any further short recommendations we might have, if we
19 come up with any new ones is a good thing. So I'm in
20 favor of everything that's gone on so far.

21 CHAIRPERSON ANASTASIO: Thank you, Joe.

22 PANEL MEMBER KLEINMAN: This is Mike. Do we
23 have -- or, you know, is there a way, you know, or a, you
24 know, some sort of formal way that it is decided which of
25 the compounds or groups off of the shopping list will be

1 given priority in developing guidelines and other things?
2 You know, and if so, you know, maybe that would be part of
3 the total package.

4 CHAIRPERSON ANASTASIO: John Budroe, you want to
5 speak to that?

6 John, are you with us?

7 DR. BUDROE: Sorry about that. Yes, I could.

8 CHAIRPERSON ANASTASIO: No problem.

9 DR. BUDROE: Could you repeat that question?

10 PANEL MEMBER KLEINMAN: The question is there
11 are, you know, decisions that are made as to which
12 compounds are going to be reviewed and, you know, put up
13 for guidelines. And I'm wondering if there are rec -- you
14 know, a way of putting those down in paper that might be
15 useful, and may be the Panel would have suggestions as to
16 how to kind of tune which are the next candidates off the
17 shopping list.

18 DR. BUDROE: Well, are you talking about
19 candidates for addition to the emissions inventory or
20 candidates, for example, hot spots, REL, or cancer unit
21 risk development?

22 PANEL MEMBER KLEINMAN: Well, I'm thinking more
23 in terms of the hot spots, RELs, and unit risks. But --
24 yeah, you know, I think it would be very useful to have
25 a -- you know, an understanding, and maybe even review the

1 process of, you know, how we are going to, you know,
2 select, you know, compounds of interest and at what degree
3 we want to go after them.

4 DR. BUDROE: Well, if you're talking about a
5 priority order for a OEHHA, I mean, there's a lot of
6 judgments that would come in other than, you know, what
7 you've been describing. For example, the air districts
8 has specific interest, and those suggest chemicals. ARB
9 has specific interests also and they'll do the same. So
10 it's trying to say that we're going to take the emissions
11 inventory list and have that be the sole determining
12 factor as far as what -- what chemicals that OEHHA is
13 going to start work on.

14 And I've mentioned this in the past, we don't
15 have the capability to do dozens of chemicals in a year.
16 So what you're talking about is discussing how you're
17 going to do maybe somewhere between four and eight
18 chemicals at any one given time. So whether it's really
19 useful to have a very formal process to make those kind of
20 determinations is something I think we need to talk about
21 in more detail.

22 PANEL MEMBER KLEINMAN: Yeah, I wasn't thinking
23 in terms of a formal process. I was thinking more of, you
24 know, even sort of a decision tree, just so we all have an
25 understanding of what goes into picking, you know, where

1 you're going to be putting your effort. I -- you know,
2 this is just, you know, one of my areas of curiosity. I
3 don't think it's necessary for, you know, this particular
4 process. But at some point, it would be good to, you
5 know, have a real understanding of where do these
6 decisions come from?

7 PANEL MEMBER GLANTZ: Well, actually -- this is
8 Stan again -- we actually have weighed in on
9 prioritization several times over the years. And, you
10 know, I mean, we don't come down with a do this, then do
11 that, then do that, but, you know, coming up with broad,
12 you know, high, medium, and low priority type lists. And,
13 you know, that -- this process may be ripe for us also,
14 you know, suggesting that. Although, I think we're not
15 quite to the point of doing it.

16 But that could be something to add to the -- you
17 know, between now and coming up with a more final document
18 that we would sign-off on, to not only be the sort of
19 general classification, but some suggestions of which
20 things ought to be done first.

21 DR. BUDROE: Okay. Well, one caveat I have with
22 that is the more formal of a process you have, the less
23 flexibility you have in that process. And I know that a
24 number -- several of the chemicals that have been entered
25 either -- have been entered in in the hot spots process

1 likely. And I'm thinking of parachlorobenzotrifluoride
2 being one, where an air district asked us to look at that.

3 Trivalent chromium that we essentially generated
4 that ourselves, because looking at the fact that it's
5 become more and more of a strategy for air districts to
6 control hexavalent chromium emissions, but there was no
7 health data available for it.

8 Cobalt, which was primarily done because of a
9 recent NTP study, it's -- you wind up having to react
10 sometimes to information that wouldn't have necessarily
11 been on a list -- prioritization list that you've
12 generated, especially, like I said, with -- you know, it's
13 not like you're talking you're doing a hundred chemicals a
14 year and you've got some wiggle room. You know, you're
15 doing four to eight tops. And the more formal you make
16 the process of prioritizing what you're going to be doing,
17 the less flexibility you've got when something pops up
18 that you didn't see coming.

19 PANEL MEMBER GLANTZ: Well, no, I think -- I
20 mean, I understand that and I'm not talking about a rigid
21 list, but, you know, I think the Panel has helpfully, you
22 know, contributed to sort of broad prioritizations. I
23 mean, they're not locked in stone. But in saying, you
24 know, when you look at the apparent toxicity and what we
25 know about the levels of exposure, these things were sort

1 of the highest priority, and, you know, others are lower
2 priority, but, you know, taking into account the fact that
3 things change.

4 But I think -- I think -- you know, that's
5 something to kind of put on the side right now to think
6 about getting to later. I think that there's a lot of
7 stuff on the table before we get to that in this process.
8 But I'm just saying we ought to just put it on the agenda
9 to think about later.

10 CHAIRPERSON ANASTASIO: And I agree with Mike's
11 comment, John. Even if you just give us an informational
12 presentation about these are the ways in which compounds
13 rise to the top of the list, that would be helpful to
14 understand. And then if Panel members have suggestions
15 about specific compounds, you know, that would only be,
16 you know, these are suggestions. It wouldn't be anything
17 binding for OEHHA.

18 PANEL MEMBER GLANTZ: Well, I don't -- I don't
19 want to beat a dead horse, but, I mean, we've done at
20 least three, that I can remember, prioritization documents
21 over the years. And it might be useful for OEHHA to go
22 back and just take a look at those.

23 PANEL MEMBER BLANC: And the fact that very
24 little has ever come out of them, I think, underscores --

25 PANEL MEMBER GLANTZ: Well, no, that's -- well,

1 no, I don't think that's true. I mean, I think they did
2 have an effect. It was mostly pushing things up, you
3 know, not saying there are things you shouldn't do, but,
4 you know, trying to say for the reasons John said that
5 resources are limited and these are the -- you know, based
6 on the current state of knowledge at the time we did it,
7 these are the things that, you know, warrant the most
8 attention right now.

9 So, I mean, this isn't -- we're not talking about
10 something that's never been done before. So you could go
11 back and look at what happened before. I mean, if it
12 turns out that it was useless, then we don't need to waste
13 our time doing it. But I recall the first one, you know,
14 which is something I was pushing a long, long time ago was
15 where we seemed to be being driven by what compounds there
16 was a lot of data on, rather than what compounds were of
17 potential public health impact.

18 PANEL LIAISON BEHRMANN: This is Jim Behrmann.
19 Dr. Anastasio, we can work with John Budroe and his staff
20 and put together an informational item for the Board, in
21 terms of their priorities for future health values that
22 are being looked at. I mainly wanted to come back to a --
23 just a process question or a process point, that as you
24 were suggesting, I think we'll work with you to designate
25 a couple Panel members -- two or three Panel members to

1 work on an initial letter, whatever form it takes, after
2 working with our legal staff.

3 The other point I wanted to make is I do not
4 believe we're prepared to respond today, but I did want to
5 make sure we have acknowledged, as you had -- did earlier,
6 that receipt of two sets of comments that came in just
7 within the last -- the last day and one comment within the
8 last two days for the other comment.

9 We're not prepared to respond in detail today,
10 but staff will be reviewing those comments and providing
11 some input to you, the Panel, for discussion in your next
12 meeting tentatively in February of next year. But that
13 discussion would be feeding into the initial letter of
14 support then.

15 CHAIRPERSON ANASTASIO: Yes. Thank you, Jim.

16 PANEL MEMBER GLANTZ: Stop calling it a letter of
17 support.

18 PANEL MEMBER BLANC: -- letter of support,
19 Please.

20 CHAIRPERSON ANASTASIO: Interim findings.

21 PANEL MEMBER BLANC: Thank you.

22 CHAIRPERSON ANASTASIO: It will be a letter of
23 interim findings.

24 PANEL MEMBER GLANTZ: Yeah, on these public
25 comments that I'm perfectly happy to have some

1 presentation on them. But I think we need to make it
2 clear to the public that if they want their comments
3 discussed in a sensible way, they can't send them in the
4 day before the meeting. I mean, it's not fair to the
5 agency and it's not fair to the Committee. I mean, these
6 are tech -- very complex issues. And, you know, to have,
7 you know, the most expeditious impact, they should have
8 come in early enough for the agency to respond to them and
9 then get the usual package to us, where we had the
10 comments and the responses to discuss.

11 So bringing these things in at the last possible
12 second, it's -- it's actually not in industry's best
13 interest, I don't think. So I think we want to -- I mean,
14 people can -- are free to send in letters any time they
15 want. But I think, you know, it ought to be made clear
16 that to get on the agenda for a reasonable discussion,
17 they need to come in enough in advance that --

18 PANEL LIAISON BEHRMANN: This is Jim -- this is
19 Jim --

20 PANEL MEMBER GLANTZ: -- you know, we could have
21 a discussion.

22 PANEL LIAISON BEHRMANN: This is Jim Behrmann. I
23 agree with you, Dr. Glantz, it's a balance. I think while
24 we do not prefer comments coming in at the last minute, I
25 think we recognize the complexity of what's being looked

1 at and what's being considered here. And I think we want
2 to encourage input from the public and from other
3 stakeholders. So rather than designating a specific hard
4 and fast deadline, we've tried to be more flexible. And I
5 think --

6 PANEL MEMBER BLANC: No, I think it's fine the
7 way you're handling it. It's fine, as long as we don't --
8 I just don't want to hear anything more about them today,
9 other than, yes, we received them.

10 So, Cort, are we -- just to get a sense, are we
11 moving towards adjournment?

12 CHAIRPERSON ANASTASIO: Yes. Just have one other
13 agenda item. But first, we didn't quite finish the
14 question to the other Panel members about the interim
15 findings letter. Are there any Panel members who disagree
16 with that idea?

17 Okay. If not, then we'll move forward with that
18 and we'll work over email to come up with some leads for
19 that who can work with staff to draft that letter.

20 So that brings me to consideration of
21 administration matters, Agenda Item number two. Two items
22 here. One is I just want to remind everyone that our next
23 in-person meeting -- well, our next meeting period will be
24 in person on Thursday, February 27th. So please make sure
25 that your calendar reflects that. And Lori and/or Jim

1 will be polling soon to set up the meeting after that.

2 And then the last item is something Jim's already
3 done actually. I just want to welcome formally Lori
4 Miyasato as the new Panel Liaison. And we're looking
5 forward to working with you Lori. And you can see how
6 much fun these meetings are. So that will bring a little,
7 you know, spring in your step. No doubt about it.

8 DR. MIYASATO: Thank you very much, Cort. I look
9 forward to working with the entire Panel. Thanks.

10 CHAIRPERSON ANASTASIO: That's great. Thank you,
11 Lori. With that, I would be happy to look for a motion to
12 adjourn.

13 PANEL MEMBER BLANC: So moved. Paul Blanc.

14 PANEL MEMBER KLEINMAN: Second.

15 PANEL MEMBER RITZ: All right. Thank you,
16 everyone.

17 CHAIRPERSON ANASTASIO: All right. Thanks,
18 everyone. And we look forward to seeing you in February.

19 (Thereupon the California Air Resources Board,
20 Scientific Review Panel adjourned at 11:04 a.m.)

21

22

23

24

25

1 C E R T I F I C A T E O F R E P O R T E R


2 I, JAMES F. PETERS, a Certified Shorthand
3 Reporter of the State of California, do hereby certify:

4 That I am a disinterested person herein; that the
5 foregoing California Air Resources Board, Scientific
6 Review Panel meeting was reported in shorthand by me,
7 James F. Peters, a Certified Shorthand Reporter of the
8 State of California;

9 That the said proceedings was taken before me, in
10 shorthand writing, and was thereafter transcribed, under
11 my direction, by computer-assisted transcription.

12 I further certify that I am not of counsel or
13 attorney for any of the parties to said meeting nor in any
14 way interested in the outcome of said meeting.

15 IN WITNESS WHEREOF, I have hereunto set my hand
16 this 8th day of December, 2019.

17
18
19
20 

21
22
23 JAMES F. PETERS, CSR
24 Certified Shorthand Reporter
25 License No. 10063