

MEETING
OF THE
SCIENTIFIC REVIEW PANEL ON TOXIC AIR CONTAMINANTS
CALIFORNIA AIR RESOURCES BOARD

SOUTH SAN FRANCISCO CONFERENCE CENTER
255 SOUTH AIRPORT BOULEVARD
SOUTH SAN FRANCISCO, CALIFORNIA

MONDAY, MAY 14, 2001

9:00 A.M.

JAMES F. PETERS, CSR, RPR
CERTIFIED SHORTHAND REPORTER
LICENSE NUMBER 10063

PETERS SHORTHAND REPORTING CORPORATION (916) 362-2345

APPEARANCES

MEMBERS PRESENT

Dr. John Froines, Chairperson
Dr. Paul D. Blanc
Dr. Gary Friedman
Dr. Anthony Fucaloro
Dr. Stanton Glantz
Dr. Hanspeter Witschi

REPRESENTING THE CALIFORNIA AIR RESOURCES BOARD

Mr. Jim Behrmann
Mr. Peter Mathews

REPRESENTING THE OFFICE OF ENVIRONMENTAL HAZARD ASSESSMENT

Dr. George V. Alexeef, Deputy Director for Scientific Affairs
Ms. Colleen Heck, Chief Counsel
Dr. Michael Lipsett, MD, Air Pollution Epidemiology Unit
Dr. Melanie Marty, Chief, Air Toxicology and Epidemiology Section
Dr. Mark Miller, MD, MPH, Air Toxicology and Risk Assessment Unit
Dr. David Morry, Air Pollution Epidemiology Unit
Dr. Bart Ostro, Chief, Air Pollution Epidemiology Unit
Dr. Andy Salmon, Chief, Air Toxicology and Risk Assessment Unit

PETERS SHORTHAND REPORTING CORPORATION (916) 362-2345

INDEX

PAGE

AGENDA ITEMS

1. Continuation of the review of the draft report: "Prioritization of Toxic Air Contaminants Under the Children's Environmental Health Protection Act," March 2001	1
Adjournment	216
Reporter's Certificate	217

PETERS SHORTHAND REPORTING CORPORATION (916) 362-2345

PROCEEDINGS

1

2 CHAIRPERSON FROINES: So we are missing, as
3 everyone can see, two members of the panel who are
4 anticipated.

5 But I think since it's 9:15, we should go ahead,
6 so we will officially call the meeting to order for May
7 14th, 2001. And we will continue the discussion of the SB
8 25 listing of the Priority Top 5 substances. So, Melanie,
9 I think you're on the lead.

10 SUPERVISING TOXICOLOGIST MARTY: Okay. What I
11 wanted to do to begin with was to go back to some of the
12 issues that the panel asked us to come back with more
13 information on, including changes to the introduction of
14 the document, which we made and sent to the panel last
15 week.

16 (Thereupon an overhead presentation was
17 presented as follows.)

18 SUPERVISING TOXICOLOGIST MARTY: So I just have
19 about nine slides, going over the changes made to the
20 intro. We have more examples of information that we put
21 together that is related to the prioritization process.
22 We have a comparison of formaldehyde and acrolein which
23 the panel asked us to bring more of the guinea-pig data
24 forward, so we have a few slides on that. And then there
25 was an issue about exposures to mercury in lead, so we

1 have a little more exposure information on those two
2 compounds.

3 --o0o--

4 SUPERVISING TOXICOLOGIST MARTY: In terms of the
5 introduction, which is basically Section 2 of the
6 document, we added text on our prioritization process to
7 clearly indicate that the selection of the 35 or 36,
8 depending on how you count them, TACs for focused
9 literature review was based not only on the quantitative
10 ranking, we did based on reference exposure levels or unit
11 risk factors in air concentrations, but also on other
12 evidence of the exposure including the hotspots stationary
13 source emissions database, and also importantly the nature
14 of the toxic effects.

15 We had certain end points, toxicological
16 endpoints, that we considered a flag for concern,
17 including neurotoxicity, immunotoxicity, endocrine
18 toxicity, impacts on the respiratory system and
19 developmental toxicity. So those chemicals -- if there
20 was evidence that chemicals induced those particular end
21 points, then we had a little more concern for those than
22 for some of the others.

23 --o0o--

24 SUPERVISING TOXICOLOGIST MARTY: We also added in
25 additional explanation of the source of the ambient air

1 data. Dr. Atkinson asked us to do that.

2 CHAIRPERSON FROINES: Let me interrupt you just
3 for a second. This new table this is the new table that
4 you just referred to, am I correct?

5 SUPERVISING TOXICOLOGIST MARTY: It's one of the
6 tables, yes.

7 CHAIRPERSON FROINES: That you just referred to?

8 SUPERVISING TOXICOLOGIST MARTY: That I just
9 referred to.

10 CHAIRPERSON FROINES: So why don't you go ahead
11 and then we'll come back to it because I know that Dr.
12 Blanc had some comments about the evidentiary bases for
13 some of the compounds in here. So why don't we go through
14 the presentation and then come back to that issue.

15 SUPERVISING TOXICOLOGIST MARTY: Okay. I added a
16 new Table 1 in the document, which is a table of the
17 rankings and the reasons for selecting the TAC for focused
18 literature review or deferring that literature search. We
19 also added a table of the TACs that we chose for a
20 literature search, which I'm calling on this slide New
21 Table 2, which we could replace with the table that Dr.
22 Froines just referred to, which has more information about
23 each one of those.

24 --o0o--

25 CHAIRPERSON FROINES: That's Table B?

1 SUPERVISING TOXICOLOGIST MARTY: Table XX is the
2 one that has all the information on the 35 TACs, the
3 evidence for potential differential effects and reasons
4 for lower or higher priority. So that's the one I think
5 that Dr. Blanc has comments on.

6 PANEL MEMBER BLANC: But the current table that
7 you're saying that would replace the Table 2, which
8 currently exists?

9 SUPERVISING TOXICOLOGIST MARTY: Right, Table 2
10 in the document is just a list of these 35 substances. It
11 doesn't --

12 PANEL MEMBER BLANC: That's the one on page 14?

13 SUPERVISING TOXICOLOGIST MARTY: Yes.

14 CHAIRPERSON FROINES: But, Melanie, then there's
15 this Table B?

16 SUPERVISING TOXICOLOGIST MARTY: Right. That's
17 an additional piece of information that the panel
18 requested. I think it was Dr. Glantz wanted us to take
19 all of those chemicals that didn't make the initial
20 ranking and say what was missing, ambient air data,
21 chronic reference exposure levels, unit risk factors. So
22 that is what Table B is that Peter is handing out, so I
23 was going to get to that, too, in a minute.

24 We also, in the text of the document, added a
25 little more clarification on developmental toxicants and

1 listings. We added a small section on asthma in children.
2 And I did want to point out that we didn't make all of the
3 changes that we wanted to make pursuant to the comments
4 from the panel from the meeting of the 27th. And we will
5 be making more of those changes.

6 So if you see something that you asked for and
7 it's missing, we didn't forget about it. We're just still
8 working on it.

9 --o0o--

10 SUPERVISING TOXICOLOGIST MARTY: Okay. Then back
11 to Table XX, which is the table that was sent to the panel
12 along with the revised introduction. The panel asked for
13 more information on the 35 TACs that were chosen for
14 literature search and in particular how come we picked 11
15 out of those, what was our thought process in doing so.

16 So we created this table to describe the reasons
17 for higher or lower priority in deciding on the 11
18 candidates for listing. The table has evidence of -- has
19 a column for Evidence Potential for Differential Effects
20 or reason for concern in the first place. And then it was
21 a table listing Noncancer Ranking, another column listing
22 Cancer Ranking and then the final column Reasons for Lower
23 Priority.

24 We did go ahead and bin the quantitative rankings
25 for both cancer and noncancer into high, moderately high,

1 medium and low. And that's noted in the footnote. And
2 essentially that is what we did when we went through these
3 chemicals to begin with, to try to see if the ranking
4 could tell us anything about the importance of those
5 chemicals for listing under SB 25.

6 I do want to reiterate that the ranking is not
7 the only thing that went into the decision to look at it,
8 that the toxicity was an important consideration.

9 --o0o--

10 SUPERVISING TOXICOLOGIST MARTY: So we can go
11 through that table if you want to now. I have overheads
12 of that table if you want me to put the overheads up or if
13 you just -- or if Dr. Blanc just wants to start with
14 chemicals that he has concerns about, however, you want to
15 do it.

16 CHAIRPERSON FROINES: Well, I think one problem
17 that I had, and I don't know if it's shared by other panel
18 members, but we got a lot of new information in a short
19 period of time and it's very difficult, having spent a lot
20 of time on the first two documents, that is your document
21 and then the comments, hopefully people have had a chance
22 to go through the additional materials.

23 But I think we've got an awful lot going on
24 especially in terms of this pretty thick new document. So
25 my sense would be that, at least for the moment, it would

1 be better to go to some -- if Paul has some specific
2 comments rather than try and spend a lot of time going
3 over the entire document.

4 PANEL MEMBER BLANC: Well, before we do that,
5 because I think, unless we get into the specific
6 chemicals, we may lose site of the forest for the trees a
7 little bit, the purpose of the revisions of the main
8 document was to try to make the document more transparent?

9 SUPERVISING TOXICOLOGIST MARTY: Correct.

10 PANEL MEMBER BLANC: And I think that the thrust
11 of what you were trying to do was consistent with the
12 feedback that you got from the panel in terms of doing
13 that. So I think, first, it would be useful to hit on
14 general issues of transparency and where that still needs
15 to be addressed, and then we can get some of the specific
16 arguments about the various chemicals.

17 One part that I think you were committed to make
18 more transparent and which I didn't see in my read of
19 this, and maybe I just missed it, was the part where you
20 were going to be very specific about how you had farmed
21 out the literature reviews to outsources and who those
22 outsources were and how that has done.

23 SUPERVISING TOXICOLOGIST MARTY: We didn't get it
24 into this draft, so I actually have it in my head if you
25 want me to --

1 PANEL MEMBER BLANC: Well, I mean, what I want to
2 understand is the implication is not that you don't plan
3 to do that?

4 SUPERVISING TOXICOLOGIST MARTY: Exactly, we are
5 doing it.

6 PANEL MEMBER BLANC: Okay. So one thing that
7 would have been useful for this kind of revision, and
8 would have been helpful for me, is to say, okay, here's
9 where this will go, but we didn't have time to do it,
10 because reading it, it's hard for me to know whether your
11 intent is not to do that or it is.

12 SUPERVISING TOXICOLOGIST MARTY: Yeah, we're
13 going to do it. I couldn't figure out, actually, or I
14 hadn't thought about where exactly to put that.

15 PANEL MEMBER BLANC: I think it goes in the part
16 where it says you then decided to do literature reviews of
17 35 chemicals, because you didn't. Your basis of choosing
18 the 35 substances or whatever that list was, was not based
19 on any outside consultancy.

20 SUPERVISING TOXICOLOGIST MARTY: Right.

21 PANEL MEMBER BLANC: As far as I understand it.

22 SUPERVISING TOXICOLOGIST MARTY: That's right.

23 PANEL MEMBER BLANC: The second thing is that the
24 step of going from the 35 chemicals, and I may have the
25 number wrong, but the chemicals that are essentially on

1 Table XX now and then some number of those will be decided
2 as being lower priority, and therefore won't be included
3 in the final group for consideration of choosing the five.
4 That still remains rather vague in terms of what your
5 target number was, if there was a target number, for how
6 many you were going to winnow away.

7 For example, could all 35 have remained if they
8 had all had enough information or was there an a priori
9 decision that of these 35 would probably be reasonable to
10 prioritize the top ten, and then there just happened to be
11 11, or the top, you know -- some going into it, can you
12 expand on that a little bit just verbally?

13 SUPERVISING TOXICOLOGIST MARTY: Sure. We
14 actually did have an a priori number set that we wanted to
15 bring to the panel. As we read through the literature and
16 as staff wrote up information on each chemical, we made
17 decisions whether we thought that evidence was strong or
18 not, and also with input on information on exposure to
19 decide whether to go forward.

20 So we thought we should probably have about ten
21 or so, but we didn't really say we will have ten and the
22 rest of them fall away.

23 PANEL MEMBER BLANC: Well, I think the document
24 needs to be more transparent. First of all, in saying
25 that you did have an intent to get somewhere around ten,

1 although, you weren't wedded to that. And, secondly, some
2 sense of what your methodology was. And I will come back
3 to that when I come to some of the specific chemicals that
4 seemed to have dropped off. But it's not transparent to
5 me reading it how one got from 35 to the 11, even if I
6 were to accept at face value the comments on Table XX.

7 SUPERVISING TOXICOLOGIST MARTY: Okay. We can
8 add a little more verbiage to the actual text, just to
9 describe our process.

10 PANEL MEMBER BLANC: So those would be some
11 general comments about -- the other thing that you haven't
12 responded to here and perhaps you're prepared to talk
13 about that a little bit later is how you are going to
14 handle is the use of developmental affects. You've allude
15 to it in your introductory comments by -- and also to
16 state in here as being the reason why you would choose
17 something, but you haven't come back to the question of
18 the policy and potential legal implication of interpreting
19 the legislative act to apply to the teratogenic effects,
20 for example.

21 CHAIRPERSON FROINES: They have, in their revised
22 document, they do address on one page, 15, developmental
23 toxicants as a special -- as a new item, and I assume
24 you're going to speak to that? Are you going --

25 PANEL MEMBER BLANC: Yeah, I saw that, but that

1 didn't seem to --

2 SUPERVISING TOXICOLOGIST MARTY: I think Paul is
3 concerned that you guys asked us to come back with a legal
4 opinion and we actually have a legal opinion. We didn't
5 write it into here --

6 PANEL MEMBER BLANC: But you're going to be
7 presenting that today?

8 SUPERVISING TOXICOLOGIST MARTY: Yes, we could do
9 that now. We could do that later.

10 CHAIRPERSON FROINES: Well, I think before going
11 to specifics, why don't we address two questions now. One
12 is the developmental question that Paul and I just raised,
13 and the second is after that you can go over the general
14 views on asthma and children as being two particularly
15 important new areas that you've put in the document.

16 SUPERVISING TOXICOLOGIST MARTY: OEHHA's Legal
17 counsel is here today, Colleen Heck.

18 OEHHA COUNSEL HECK: Good morning, Mr. Chair and
19 Members. My name is Colleen Heck. And as Dr. Marty has
20 indicated, we are prepared to offer a legal opinion today
21 that developmental toxicants that cause adverse effects on
22 infants and children are within the scope of SB 25. It is
23 the legal opinion of both OEHHA and the Air Resources
24 Board that toxic air contaminants that cause developmental
25 or other problems for infants and children's -- excuse me,

1 children as a result of prenatal exposure to those TACs
2 are within the scope of the statute.

3 The opinion is based on a comprehensive reading
4 of the statute, both its spirit as well as the letter of
5 the law. The legislative history is quite informative as
6 well.

7 It's also consistent with good public health
8 principles, which is a relevant consideration in looking
9 at how to interpret a statute of this sort.

10 It's clear from reading SB 25 that its principal
11 purpose is to protect, in quotes or underlined, infants
12 and children from the deleterious effects of air
13 pollution. In order to protect infants and children, one
14 must take into account those factors that affect them.
15 Prenatal exposures is certainly one such factor.

16 The statute is replete with references to
17 protecting infants and children from the effects or
18 impacts of air pollution. There is no focus on the type
19 of exposure in this statute, unlike perhaps other
20 statutory schemes one can think of.

21 Rather, the focus of the statute is on what is
22 the effect of exposures regardless of time of exposure.
23 There is references throughout the statute to those things
24 to which infants and children have a special
25 susceptibility. From both the rules of statutory

1 construction and the understanding in the scientific
2 community of what that means, infants and children exposed
3 prenatally to certain air pollutants are especially
4 susceptible to the harmful effects of those pollutants.

5 And lastly in terms of the principles of how to
6 interpret this statute, when interpreting a public health
7 statute, unlike say a criminal or penal or punitive
8 statute, one must interpret broadly when there is doubt,
9 ambiguity how to interpret a statute to be inclusive or
10 less inclusive.

11 So unlike those criminal provisions, when we have
12 a public health statute of this sort, doubt, if you will,
13 is to be resolved in the favor of being more inclusive,
14 more protective. So all of these principles align nicely,
15 the science and the law and the policy and the legislative
16 history to tell us that prenatal exposures which can
17 differentially affect infants and children are within the
18 scope of this statute. And I'd be happy to answer any
19 questions.

20 PANEL MEMBER BLANC: Well, I have a few
21 questions. Did you find anywhere in the legislative
22 history reference to birth defects?

23 OEHHA COUNSEL HECK: Per se, no. There's strong
24 statements from the author's office about getting a --
25 getting at protecting infants and children and her long

1 held view that the current statutory approaches are not
2 protective of infants and children. The words birth
3 defects as a distinct phrase do not appear.

4 PANEL MEMBER BLANC: Did the word fetus or fetal
5 exposure ever appear, since it doesn't appear in the law
6 itself in the legislative discussion?

7 OEHHA COUNSEL HECK: No. Again, these
8 discussions are far more generalized at getting at the
9 fact that these beings have different biological functions
10 than adults that the current regulatory regimen is not
11 protective, does not get at the effects of the pollution.
12 They don't use all of the various terminologies about why
13 that may or may not be true.

14 PANEL MEMBER BLANC: Is it your legal opinion
15 that an infant born with cerebral palsy who then
16 throughout life, both in childhood and as an adult, would
17 manifest the effects of cerebral palsy but wouldn't
18 manifest an effect that was preferentially detrimental to
19 the childhood period of life of that human being?

20 OEHHA COUNSEL HECK: Well --

21 SUPERVISING TOXICOLOGIST MARTY: Let me jump in
22 here for that one. I think it's -- we talked to our
23 reproductive toxicologist, including Dr. Gollup who works
24 at UCD in the center and has been doing teratological
25 research for quite some time. She says that you need to

1 consider that a child born with a birth defect has impacts
2 on their development from the get-go.

3 So if you are born with no legs as an infant,
4 then you have -- you don't develop the way a kid would
5 develop who had legs. If you lose your legs as an adult,
6 you've already made those neuron connections that are
7 associated with crawling and walking and so forth.

8 Also, she brought up the point that most
9 teratogens don't just result in an anatomically distinct
10 abnormality, that they're most associated with a syndrome
11 that includes other toxic effects.

12 And so, in her view, those -- it's too limiting
13 to say well, if you're born with no legs as a kid, and you
14 have no legs as an adult, there's not a differential
15 susceptibility.

16 CHAIRPERSON FROINES: Let me ask a question about
17 that. One of the things that the public wants to know and
18 this panel needs to know in making a decision is what is
19 the evidentiary basis for a decision? In other words, we
20 want to know what was the scientific basis to underlay a
21 particular decision?

22 To appear before the panel and to say that one of
23 your toxicologists gave the opinion that children who have
24 no legs will be forever impacted because there are other
25 developmental factors that may occur, this panel -- that's

1 not a scientific statement. That's a speculation, in my
2 view.

3 It may have a scientific underpinning. But if
4 we're going to have a document that we use for decision
5 making, then we should have the scientific basis of that
6 statement laid out. Otherwise, it's somebody's point of
7 view, it's not a -- there is no evidentiary basis for it.

8 SUPERVISING TOXICOLOGIST MARTY: Well, we did in
9 our --

10 CHAIRPERSON FROINES: There may be an evidentiary
11 basis for it, but none that we have seen, so we can't
12 accept her position. One, she's not even here, but,
13 secondly, we can't just simply let people say our
14 toxicologists says the following is true and then we all
15 bow and say thank you very much, we accept that. That's
16 simply not a process that we can accept.

17 SUPERVISING TOXICOLOGIST MARTY: Okay. Let me
18 just say that there is a lot of literature that backs that
19 statement up. We can put in the citations.

20 CHAIRPERSON FROINES: Well, then we should see
21 it. Well, that's what we judge the literature. We don't
22 judge the comments. That's all we can do is judge the
23 scientific basis of which you give us. That's our job.
24 Our job is not to judge the speculation of an interested
25 party to a circumstance.

1 SUPERVISING TOXICOLOGIST MARTY: We can bring in
2 some citations, but I think we need to make sure that --

3 PANEL MEMBER BLANC: First, you need to make sure
4 that you're going down the road that's consistent with
5 what you're going to be -- that you're going to receive an
6 appropriate response, if you're going down that road --
7 that you're headed down the right track, is that what you
8 were about to say?

9 SUPERVISING TOXICOLOGIST MARTY: No, I just
10 wanted to say that we were looking at chemicals on a
11 case-by-case basis. And we do make the point that just
12 because something is a developmental toxicant doesn't mean
13 it automatically gets listed or is subject to listing
14 under SB 25.

15 CHAIRPERSON FROINES: Well, when we get to glycol
16 ethers today, then we will expect to have a presentation
17 of what was the underlying basis that shows effects, not
18 simply in terms of birth defects, but long-term impact of
19 glycol ethers on the child and subsequently the adult.

20 PANEL MEMBER BLANC: Well, let me come at it from
21 a different way, because I think, Melanie, if I understand
22 what you're saying and what legal counsel is saying that
23 hypothetically there certainly could be a chemical that
24 would make it all the way down the list, even into the top
25 five, if it were a developmental toxin with exposure

1 concerns that was high level of exposure and there was no
2 direct evidence that you had no evidence either in animal
3 or epidemiologic human studies showing an effect on
4 children when exposed as children. And so the entire
5 extrapolation was based on -- or the entire finding was
6 based on the known and well-established developmental
7 effects in utero.

8 And what you're saying is that from a legal point
9 of view, were a chemical to have those aspects, would be,
10 potentially could be, listed. We're not saying that one
11 of the ones would be. What Dr. Froines is saying, and
12 what I would echo, is that to do that one thing is that
13 your section on developmental toxicity should be a bit
14 more explicit about the scenario, wherein a child would be
15 deferentially affected by coming into childhood with a
16 series of impairments and citing the literature to support
17 that.

18 The second thing that I think is very important
19 would be for us to hear a legal opinion and for somehow
20 this document to take account of that, that this in no way
21 is meant to imply that a fetus is a child, that the
22 interpretation of this act is that a fetus is a child or
23 that the ARB's interpretation is.

24 And that's what really concerns me, that someone
25 could take your document and then say well the Air

1 Resources Board has, through its findings, declared
2 that --

3 OEHHA COUNSEL HECK: We'd be happy to make it
4 clear that that's the basis for the legal opinion that
5 prenatal exposures leading to differential outcomes in
6 infants and children is the basis for our opinion.

7 PANEL MEMBER BLANC: I'd like to see that stated
8 explicitly in the document as well.

9 PANEL MEMBER FUCALORO: And, Paul, the reason for
10 that is because it's inconsistent with California law? I
11 mean, what's the reason you would want a legal statement
12 on that?

13 PANEL MEMBER BLANC: The fetus is not a child,
14 and the --

15 PANEL MEMBER FUCALORO: The law.

16 PANEL MEMBER BLANC: -- the law says, which this
17 law is based, is talking about children. It never once
18 mentions fetus. And then to turn around and declare a
19 chemical under the statutes because it only affects a
20 fetus without then saying -- but it's not because of its
21 fetal effects, because if that fetus did not survive the
22 birth, this is not the issue. The issue is fetuses that
23 survive to birth and then have these problems including
24 childhood.

25 PANEL MEMBER FUCALORO: You're more sophisticated

1 legally than I am, but isn't it true that -- I mean, this
2 takes us far afield and that's why I was a little worried
3 about this line of questioning, although I think it may be
4 necessary. Isn't it true, and I could be wrong, this is
5 just from reading the newspapers, that people can be
6 charged with murder for killing a fetus? I mean, saying
7 that -- and the crime or something like that?

8 OEHHA COUNSEL HECK: Yeah. The penal code was
9 amended about 35 years ago. There was an individual
10 charged with homicide for assaulting his late-term
11 pregnancy wife. The fetus did not survive the birth. He
12 was charged with homicide. He was convicted. The Court
13 of Appeals overturns it saying the fetus is not a human
14 being within the meaning of the historic common law which
15 underlies our homicide statute. The statute was amended,
16 Penal Code Section 187, to say homicide is unlawful
17 killing a human being or a fetus.

18 So it was named as a distinct entity that could
19 be the basis for murder as opposed to being within the
20 subset of the term human being.

21 PANEL MEMBER FUCALORO: So we're going to have it
22 both ways. Good, I see.

23 (Laughter.)

24 OEHHA COUNSEL HECK: That's the way the
25 Legislature saw fit to solve that dilemma.

1 PANEL MEMBER BLANC: And, finally a long the same
2 lines, I think, Melanie, it would be useful in your
3 discussion on developmental toxicants to emphasize perhaps
4 a bit more than is there in a couple of sentences why for
5 all of those reasons toxins, which would tend to manifest
6 their effects in later gestation, would be even more of
7 concern perhaps, under this approach, since they would be
8 more likely to affect the developing nervous system and
9 ways in which a fetus would then survive to childhood or
10 however you want to phrase that.

11 DR. MARTY: Yeah, then, again, it's a case by
12 case issue.

13 PANEL MEMBER BLANC: I understand that, but you
14 just do lay out general principles it seems to me.

15 SUPERVISING TOXICOLOGIST MARTY: Okay.

16 CHAIRPERSON FROINES: Are there any chronic RELs
17 or acute RELs based on birth defects?

18 SUPERVISING TOXICOLOGIST MARTY: Yes.

19 CHAIRPERSON FROINES: Based solely on birth
20 defects?

21 SUPERVISING TOXICOLOGIST MARTY: Yes.

22 CHAIRPERSON FROINES: So does that mean that you
23 now need to go back and use another basis for your input
24 for that risk assessment, because the law seeks to develop
25 new risk assessments, as I understand it, based on the

1 differential risk; isn't that correct? Doesn't the law
2 ask you to look at how a new risk assessment might be
3 developed based on the notion of a differential effect?

4 DR. ALEXEEFF: Well, at a later stage we'll go
5 back and look at the reference exposure levels, but it's
6 simply to see if they're protective of infants and
7 children. Maybe the numbers don't have to change at all.
8 We haven't developed a new methodology that would say you
9 have to add an additional factor or an additional sort of
10 formula in order to protect infants and children,
11 mathematic -- or quantitatively.

12 So, at this point, we don't -- you know, if it's
13 already based on birth defects, we wouldn't change it at
14 this time. But we're planning on developing methodology
15 or looking at methodologies that we will bring to the
16 panel on how we would handle understanding differential
17 treatment.

18 So what I'm saying is there's no a priori reason
19 we're going to go and change any chronic REL right now
20 because the chemical is on the list, but at some point, we
21 will look at methodologies to see if infants and children
22 are protected with the current methodologies, and they may
23 be.

24 PANEL MEMBER BYUS: It is confusing. It's just
25 not you guys have been talking, but it is confusing. On

1 first reading this, I would not have thought that
2 teratogens and developmental toxicants would have been
3 included in this. And it's okay that it is, but, I mean,
4 my reading was the same as the rest of the panel's. And
5 then to this chronic REL issue is even more confusing to
6 me as you said, John, because the chronic REL was based on
7 developmental toxicity, then that chemical shouldn't be on
8 the list, because it was developed already for children
9 and there's no reason to consider it -- I mean, the child
10 was the driving force behind it.

11 SUPERVISING TOXICOLOGIST MARTY: The list
12 triggers risk management, that's what it does. And so if
13 there's -- the effect that a chemical has a reference
14 exposure level based on developmental toxicity is not
15 connected to whether or not risk management actions have
16 been taken against that chemical.

17 CHAIRPERSON FROINES: Yeah, but I think that the
18 Legislature believes that some chemicals differentially
19 impact children's health more profoundly than the same
20 exposures to the adult. I mean, that's what they're
21 trying to get at. They think that kids are more
22 susceptible, in many cases, than adults. And so to the
23 degree that we're saying we have those chronic RELs based
24 on birth defects, there is a contradiction. There is a
25 logical contradiction between what the Legislature thought

1 they were doing and what we're actually doing.

2 I think it --

3 OEHHA COUNSEL HECK: I think there's a
4 consistency that in both cases we're saying these are
5 chemicals that may have differential outcomes on kids.
6 The fact that the REL was based on the birth defects is
7 confirmative or consistent with saying, yeah, the chemical
8 that we need to look at to make sure the risk management
9 levels, when set, are protective of all those people of
10 the infants and children, that could be differentially
11 impacted.

12 CHAIRPERSON FROINES: Except for -- I understand
13 what you're saying. Except that this law was new. It was
14 an attempt to seek out new science around differential
15 susceptibility. To the degree that we focus on what we
16 already know, then we don't go to the new science that the
17 Legislature was looking for. We already know about
18 thalidomide. We don't need to build a State law to
19 address it. And you're saying it fits. And, of course,
20 you're right, of course it fits, nobody is arguing that.

21 But it's not really new. Thalidomide we
22 understand its teratogenicity. Martha Escutia, Senator
23 Escutia did not push that bill to develop legislation to
24 address thalidomide. She did it to address new science of
25 differential susceptibility. That's what she's trying to

1 get at. And to the degree that we go back and tell her
2 what we already know, it doesn't meet the goal of the
3 legislation, that's the problem.

4 PANEL MEMBER FRIEDMAN: I don't agree with you.
5 I think their approach is very reasonable. I think that
6 if, you know, given that children or infants are more
7 susceptible, if the standard that has been developed
8 protects them, okay fine. I don't see that we have to
9 come up with something new in a case like that, and I
10 think that their approach is very reasonable. So I don't
11 want you to think the whole panel disagrees with that.

12 DR. ALEXEEF: This is George Alexeeff, I didn't
13 introduce myself, with OEHHA, for the court reporter.

14 There's a couple of different factors. There's
15 three sort of areas that's happening with this new law
16 that has to do with toxic contaminants. One is the
17 listing process, this list we're developing. The other
18 one is the ATCM process, the toxic control measure
19 process, which is Air Board's responsibility. The third
20 area is us reevaluating our chronic RELs or Reference
21 Exposure Levels or cancer potency factor. There's three
22 different things that are happening. The way this list is
23 set up is that we identify chemicals where children are
24 differentially impacted and put them on this list.

25 The next step is for the Air Resources Board to

1 look at their ATCM, if they have one, and to reevaluate
2 it, look at the current information to see if their ATCM
3 is proper.

4 If they don't have one, they have to develop one.
5 So that's what the list actually --

6 SUPERVISING TOXICOLOGIST MARTY: If they don't
7 have one, they have to do a needs assessment to see
8 whether they need to develop one.

9 DR. ALEXEEF: Oh, that's right Excuse me.
10 There's a whole process, the whole ATCM process, so it
11 triggers the ATCM process, if they don't have it which
12 starts the needs assessment, check for exposure and all
13 those sort of issues. And then a later stage in a couple
14 of years, there's a time line in the law, several years
15 we'll be coming back and looking at reference exposure
16 levels, either updating ones we've presented the panel or
17 providing even new ones based upon, you know, the
18 information we've developed over the next couple of years.

19 So there's sort of three different things, they
20 don't necessarily, you know, play off one another. I
21 think the key factor is chemicals that do go on this list
22 then require the Air Resources Board to consider the
23 control measure process and to see if their control
24 measures are adequate.

25 CHAIRPERSON FROINES: I think we should go on,

1 because we've gotten a sound legal opinion, and Paul's
2 asked for some specific language and now we're talking
3 about our views of the issue. And I think we should go to
4 the substantive things that we need to pursue.

5 DR. ALEXEEF: That's fine, but I think the key is
6 the legal opinion stated, that Melanie stated, was that
7 developmental toxins are an area that we can consider. It
8 doesn't mean they're on the list, but we're not excluding
9 them all. They can be a factor in this process.

10 CHAIRPERSON FROINES: But keep in mind, the
11 importance of developing the evidence when you're going to
12 be making an argument so that we avoid this kind of
13 speculative argument.

14 So we're back to Paul now.

15 PANEL MEMBER BLANC: Well, no, I think your
16 request was that consistent with the general principles
17 that we also address the asthma section.

18 CHAIRPERSON FROINES: Okay.

19 PANEL MEMBER BLANC: Well, that was your last
20 request.

21 SUPERVISING TOXICOLOGIST MARTY: We added a small
22 section on asthma in children to the introduction.
23 Basically, we make the point that the prevalence rates
24 statistics indicate that kids have more asthma than adults
25 as a percentage of the population. And we make the point

1 that because they have smaller airways, we're concerned,
2 and it seems that they get into trouble faster when they
3 have an asthma attack than someone with a larger airway
4 like an adult.

5 And we also bring forth the use of
6 hospitalization rates for children being much higher than
7 adults and realize and state that while hospitalization is
8 influenced by a number of factors, that we believe this
9 information supports the concern that asthma impacts
10 children more than it does adults. Therefore, TACs that
11 exacerbate asthma should be considered for listing under
12 SB 25.

13 Any questions about that information?

14 PANEL MEMBER BLANC: Well, one of the things
15 that -- since you put in a section on asthma, one of the
16 things that seems to be missing from it is that clearly
17 you would also be concerned about things which induce
18 asthma and not only things which exacerbate asthma.

19 SUPERVISING TOXICOLOGIST MARTY: Yes, did I --
20 it's not in there.

21 PANEL MEMBER BLANC: No. We have included
22 exacerbation of asthma, so it should definitely be
23 induction or exacerbation.

24 SUPERVISING TOXICOLOGIST MARTY: Yes.

25 PANEL MEMBER FUCALORO: That was mentioned at the

1 last meeting.

2 PANEL MEMBER BLANC: So therefore things,
3 which -- such as diesel, hypothetically, which might act
4 as adjuvants to sensitization might be an issue, if you we
5 were concerned about asthma in childhood specifically.

6 DR. MARTY: Yeah.

7 PANEL MEMBER BLANC: Now, another question I
8 would have about, since you have a section on asthma in
9 childhood, you have a section on developmental toxicants.
10 It's fairly early on, and these are both separate from the
11 section factors influence in why infants and children
12 might be more susceptible than adults, wherein you have
13 the inhalation issues -- it's, you know, unchanged from
14 previous ones, food intake, the sort of roots of exposure
15 issues, behavioral factors that influence -- all things
16 that influence exposure, thermal exposure, metabolic
17 differences, distribution difference. Those all sort of
18 pharmacokinetic, pharmacodynamic things, in excretion,
19 obviously.

20 Then later on, page 43, the central nervous
21 system, the endocrine system, the immune system, lung
22 development, children's cancer risk. There's a little
23 question about asymmetry, since you have, sort of,
24 upfront as an outgrowth of the, you know, of the questions
25 that were raised, you have these sort of isolated sections

1 about developmental and asthma as particular issues.

2 And I don't know how you want to handle this, but
3 I think you should go back and take a look at the document
4 and make sure that you're putting things in the right
5 order, that something isn't sort of hanging things out
6 there, illogically.

7 SUPERVISING TOXICOLOGIST MARTY: Yeah, I think,
8 actually you have a good point. We should probably take
9 that whole section 3D and move it in front of all the
10 physiological and pharmacokinetic --

11 PANEL MEMBER BLANC: Because it implies that
12 other things, you know, aren't going to be something you
13 can take into account. For example, you're talking about
14 developmental lung, but things that affect -- and cancer,
15 you have those three things. And then it says if
16 hematological effects wouldn't matter.

17 There's another issue I would make about asthma
18 that you could use as an argument as to why it might
19 matter and also why cancer wouldn't matter differentially
20 for children, because I understand you have a bit of a
21 problem with the cancer issue again as to the logic as to
22 why children are more at risk unless you're going to
23 generically invoke the shelf-life issue.

24 And one issue you could make is that children who
25 had to undergo chemotherapy would probably differentially

1 have long-lasting effects as compared to adults who
2 underwent chemotherapy. And the same thing would actually
3 be true of asthma, you could make the argument that
4 children who needed steroids for asthma are more likely to
5 experience deleterious effects of systemic corticosteroids
6 than adults who got corticosteroids at a similar dose, so
7 that the treatment for the disease would make children
8 more at risk. I don't know whether that's something you
9 want to throw in there.

10 SUPERVISING TOXICOLOGIST MARTY: We actually
11 allude to it in the section on cancer, because kids who,
12 for example, receive --

13 PANEL MEMBER BLANC: You say, that they're more
14 at risk, later malignancies, but just in terms of
15 developmental impacts of --

16 SUPERVISING TOXICOLOGIST MARTY: Okay.

17 PANEL MEMBER BLANC: From our pediatrician, from
18 a pediatrician.

19 DR. MILLER: Mark Miller, with the OEHHA. A good
20 example might be pediatric brain tumors for which
21 radiation is often the treatment of choice, and you can't
22 really radiate a child under three years of age, because
23 of the developmental impacts on the brain. And it puts
24 oncologists in a dilemma.

25 SUPERVISING TOXICOLOGIST MARTY: We can add that

1 information.

2 CHAIRPERSON FROINES: I just want to go back and
3 reraise an old issue, that I'm still slightly
4 uncomfortable with, and I don't want to take much time on
5 it. I think it's -- the inclusion of a section on asthma
6 in children is very important. And so I commend you for
7 that. I also agree with the prevalence statistics that
8 you have developed. And I agree with the differences in
9 the physiologic characteristics.

10 Where I still have a problem with your argument
11 is with this hospitalization rate question. And I readily
12 admit that I had it backwards last time between blacks and
13 whites. And so I was wrong. I remembered my own slide
14 incorrectly. The argument is still, as far as I'm
15 concerned, the same. I still think that at some level
16 from an epidemiologic standpoint that what influences
17 hospitalization or seeking of health care has a lot to do
18 with social and behavioral factors that we've all -- I
19 think we all would agree that those are important.

20 But in the document you have two sentences on
21 hospitalization, two or three sentences on
22 hospitalization. And so you're making hospitalization
23 rates as an argument for differential impacts of asthma in
24 children. And I just want to be clear on what you're
25 really trying to say with that argument, because I think

1 there's a very clear reason why blacks or whites seek
2 hospitalization differently. And I think that that has to
3 do a lot with socioeconomic factors as well as behavioral
4 factors.

5 But I think it's important to put on the record
6 and put in the document what is it that you're really
7 saying about the differences between childhood asthma and
8 adult asthma, for example, in terms of the hospitalization
9 argument.

10 PANEL MEMBER BLANC: John, can I -- maybe, I'll
11 just save them some time here. I think that --

12 CHAIRPERSON FROINES: Well, Michael just came to
13 the table. We'll miss the opportunity here.

14 PANEL MEMBER BLANC: I want to hear what Mike
15 says, but, you know, there's --

16 PANEL MEMBER BYUS: Not that much.

17 (Laughter.)

18 PANEL MEMBER BLANC: Hospitalization, I just
19 don't want you to get yourself out on a limb.
20 Hospitalization is considered, in general, a
21 nondiscretionary marker of severity in asthma. So that
22 although visits to the emergency department are considered
23 discretionary, because one could go to their doctor if
24 they had good access, getting admitted to the hospital is
25 not considered discretionary and therefore is considered a

1 true marker of severity as good as we have such markers.

2 Mike.

3 CHAIRPERSON FROINES: I think next time you
4 should let Mike say it first.

5 DR. LIPSETT: This is Michael Lipsett, OEHHA.
6 And that's exactly what I was going to say.

7 (Laughter.)

8 DR. LIPSETT: And I just wanted to add also that
9 just -- you don't necessarily even need to look at that in
10 terms of a severity marker, but if you're also looking at
11 issues related to prevalence as well, that's not
12 necessarily something that has to do with, say, the
13 behavior types of factors, if you're looking at it.

14 As for the hospitalization of -- I won't take
15 anymore time. That's exactly what I was going to say.

16 CHAIRPERSON FROINES: Well, my point here is
17 going back to something I said much earlier about the
18 evidentiary basis for things. I think what Paul just said
19 and what you followed up with is very useful, and I don't
20 want to be out on a limb, because then I get eaten up by
21 Gary or Paul or a whole bunch of people.

22 But the point I'm trying to make is that the
23 document should have those kinds of arguments, because
24 that really clarifies the issue. That's the issue here.

25 PANEL MEMBER FUCALORO: It's in one sentence.

1 CHAIRPERSON FROINES: Proving that I'm wrong is
2 not the issue, it's what's in the document.

3 SUPERVISING TOXICOLOGIST MARTY: I'll put that
4 in. Also, I did want to add that I was going to take some
5 of the prevalence rate data and make a table for that, and
6 I thought I had done that, but it's not in here.

7 CHAIRPERSON FROINES: Well, this issue is so
8 important because it comes up with Phs, with diesel, with
9 acrolein, with formaldehyde and so on and so forth, and it
10 may come up again in the future. So having this laid out
11 as clearly as possible is really important.

12 SUPERVISING TOXICOLOGIST MARTY: Okay.

13 PANEL MEMBER FRIEDMAN: I'd like to just
14 reemphasize what Stan said, I think, a few meetings ago
15 about the absence of environmental tobacco smoke from this
16 list, because we all know that it has harmful effects on
17 children. And I contacted Melanie and she informed me
18 that the reason it wasn't being considered is because it's
19 not officially labeled as a toxic air contaminant.

20 And I think, you know, that we should be explicit
21 that, you know, that I gather that was a political not a
22 scientific decision, because the report that came through
23 said -- it recommended that it be listed as a toxic air
24 contaminant. So I think, you know, there's something
25 funny the fact that's totally missing from this

1 consideration, and I wonder if it could be brought up in
2 someway in connection with SB 25. Maybe that would take a
3 legal opinion, but I'm bothered by its absence, and
4 instead we're looking at chemicals which are much less
5 prevalent.

6 CHAIRPERSON FROINES: I don't know who wants to
7 speak to this issue from ARB, but --

8 OEHHA COUNSEL HECK: Colleen Heck, again. Dr.
9 Friedman's exactly right in that the reason for its -- the
10 simple reason for its noninclusion is it has not yet been
11 identified as a TAC and the statute is very clear that
12 we're only to look at those things that are, in fact,
13 listed as toxic air contaminants. We would have no
14 authority noted as discretion at all to exercise here to
15 look at ETS unless and until such time as it is identified
16 as a toxic air contaminant.

17 So the only quibble I would have with your
18 description is the use of the word political. It's a
19 legal problem, if you will, or barrier for OEHHA. We have
20 no authority here to delve into this. So if Senator
21 Escutia could amend her bill to name ETS by name or ETS
22 could get listed. Until either of those things happen,
23 we're handcuffed.

24 CHAIRPERSON FROINES: Well, the question that I
25 had is, it is my impression that the Air Resources Board

1 and OEHHA are going to consider moving ETS forward as a
2 toxic air contaminant. And so I was hoping to get some
3 clarification on that issue from somebody from ARB and
4 OEHHA, because that, I think, would respond to Dr.
5 Friedman's question directly.

6 MS. BROOKS: My name is Jeanette Brooks and I'm
7 with the Air Resources Board and our management has
8 seriously considered entering environmental tobacco smoke
9 into the process. And I don't have a final decision for
10 you today, but very soon I will.

11 PANEL MEMBER FUCALORO: What is very soon?

12 MS. BROOKS: I'm hoping within the next week or
13 two.

14 PANEL MEMBER FRIEDMAN: What's the process? I
15 just don't know what you say by when you say entering --
16 what process are you talking about?

17 The formal identification of the substance as a
18 toxic air contaminant. Since it's a hazardous air
19 pollutant it's not an automatic listing as a toxic air
20 contaminant, so it would be a process similar to the one
21 we went through with diesel exhaust. But there is a
22 report that we can use as a basis there, but there will
23 need to be some updating.

24 There was no quantitative risk assessment in that
25 report, and Melanie can speak to that. And then SB 25 did

1 amend our identification process in the law where you do
2 have to take into account the impacts on children. So
3 more work needs to be done on that report, but there is a
4 good basis to start with.

5 PANEL MEMBER FRIEDMAN: So we've gone through,
6 you know, the process and gone through the OEHHA beautiful
7 report on environmental tobacco smoke. We reviewed it,
8 approved it, and then it goes to ARB. And could you
9 explain a little more what that process that ARB goes
10 through before it labels something as a toxic air
11 contaminant?

12 MS. BROOKS: Well, what we do normally is we have
13 a public -- before we start the process, we have a public
14 information request that goes out on exposure and health
15 effects. We get that information back, and we make a
16 formal request to OEHHA in a memo asking them to begin
17 work on their Part B report, and then they start their
18 work on their side of the report and then we start our
19 work on the exposure part, and it involves public
20 workshops and a panel review of the report.

21 PANEL MEMBER FRIEDMAN: But hasn't that all been
22 done already?

23 MS. BROOKS: Not everything that's in that
24 previous report will meet the requirements in the law now
25 for identifying a substance. So we need to build upon

1 what's been done and bring it back to the panel for
2 review. There will be some new information in that
3 report.

4 PANEL MEMBER GLANTZ: Well, we've been hearing
5 for several months this was going to start in two weeks.

6 MS. BROOKS: The best I can do right now.

7 PANEL MEMBER GLANTZ: Where's the hang up?

8 MS. BROOKS: We're waiting for our Executive
9 Officer to approve a letter to the panel.

10 PANEL MEMBER BLANC: Can I ask a legal opinion
11 again? There would be nothing in -- there would be
12 nothing that would legally preclude OEHHA from, in their
13 document, in the introductory part of their document, from
14 being explicit as to why environmental tobacco smoke will
15 not be addressed, --

16 OEHHA COUNSEL HECK: That's correct.

17 PANEL MEMBER BLANC: -- even though on a
18 biological basis it would otherwise meet criteria?

19 OEHHA COUNSEL HECK: Right. We may have a little
20 bit of a semantic disconnect. We'd be saying that the
21 inclusion doesn't mean that other things were ruled in or
22 out purely on a science basis, but what was the scope of
23 SB 25 and anything not attacked was clearly outside of
24 that.

25 PANEL MEMBER BLANC: Right, because it was our

1 specific request at the last meeting and it's exactly
2 parallel that there be a similar paragraph addressing the
3 obvious reasons why pesticides would otherwise be of grave
4 concern, but could not be included here because of
5 statutory reasons, and that was not yet in this version.

6 SUPERVISING TOXICOLOGIST MARTY: It's not in
7 there yet. It's coming.

8 PANEL MEMBER BLANC: And I think that in the same
9 section, an explicit comment on ETS would be appropriate
10 as long as you don't believe there's a legal reason why
11 they can't do that.

12 OEHHA COUNSEL HECK: No, I think it would be
13 clear to point out though that we'd be stating not that we
14 delved into the merits of ETS, but that we could not
15 because of a legal bar. So I don't know how that would
16 exactly read, but let me just answer your question, we're
17 not legally precluded from making such a statement. We
18 could do so if we --

19 PANEL MEMBER FUCALORO: I think that would make
20 us feel a lot bet on this panel, if both of those, the
21 pesticides and the ETSS were in there.

22 OEHHA COUNSEL HECK: Since you've brought up the
23 pesticides, let me just quickly add that not only was it
24 not within the scope of the existing law about what the
25 TAC program could get at, it was reiterated quite clearly

1 in SB 25 that pesticides and their pesticidal use were
2 outside the ambit of SB 25. So we can clarify both of
3 those points.

4 PANEL MEMBER BLANC: I think the panel is trying
5 to make clear that we want to see accompanying that a
6 comment in the report which says, of course on biological
7 grounds, these would have been a priori substances that
8 would have gotten a great deal of attention other wise.

9 SUPERVISING TOXICOLOGIST MARTY: I don't think
10 there's a problem saying that.

11 PANEL MEMBER BYUS: Certainly, given the laws
12 suggesting that we consider additivity of exposure by
13 common mechanisms, which clearly the pesticides probably
14 fall into as a group more than any other compounds, series
15 of compounds.

16 CHAIRPERSON FROINES: Can I go back to Stan's
17 question and Gary's point. I think the Chair would
18 entertain a resolution from the panel that I write a
19 letter to the Executive Officer of the Air Resources Board
20 and stating the opinion of the panel with respect to the
21 ETS issue in terms of its being considered as a TAC.

22 In other words, we should send a letter to -- I
23 think we should send a letter to Mike Kenny requesting
24 that this issue be moved forward as expeditiously as
25 possible. So I think we need a resolution, Stan, to that

1 effect.

2 PANEL MEMBER GLANTZ: Gary brought it.

3 PANEL MEMBER FRIEDMAN: So moved. I move what
4 you just said that you write the letter asking about this.

5 PANEL MEMBER GLANTZ: I'll second it.

6 CHAIRPERSON FROINES: Any discussion?

7 PANEL MEMBER FUCALORO: Yeah, just a question.
8 I've said this before and I'll say it again, I was very
9 impressed with the presentation you made a couple years
10 ago regarding how you set priorities for those chemicals
11 that came up as TACs. Do you know what I'm referring to?

12 SUPERVISING TOXICOLOGIST MARTY: The ARB's
13 prioritization process?

14 PANEL MEMBER FUCALORO: Yes, it was ARB's right.
15 Does ETS show up on the radar map on that particular one?
16 I don't know the answer to that.

17 DR. MARTY: Jeanette, do you know the answer to
18 that?

19 MS. BROOKS: I'm sorry, I don't know the answer
20 to that question.

21 CHAIRPERSON FROINES: Can she come up and speak
22 into the microphone for the court reporter.

23 DR. ALEXEEFF: This is George Alexeeff.
24 Jeanette, I think the question was, if you can recall the
25 prioritization procedure the ARB has for prioritizing

1 potential toxic contaminants, if you recall where ETS is
2 on that prioritization list or if it has been prioritized.

3 MS. BROOKS: I can't remember the exact ranking,
4 but I know that it wasn't in the top 40 ranks.

5 CHAIRPERSON FROINES: Was it the list?

6 MS. BROOKS: And we were looking in our last
7 update a couple years ago we were looking at the top 40
8 ranks, so it must have been somewhat lower.

9 PANEL MEMBER FUCALORO: Now, does the top 40
10 include those who have already been considered TACs?

11 MS. BROOKS: Yes, it would be -- once we go
12 through our prioritization scheme, then they just, you
13 know, they just fall out in terms of the information.

14 PANEL MEMBER FUCALORO: You don't recall where it
15 is?

16 MS. BROOKS: I don't recall the exact score.

17 CHAIRPERSON FROINES: But Jeanette, are you sure
18 it would have been on the list --

19 MS. BROOKS: It's a candidate.

20 CHAIRPERSON FROINES: -- because I think you're
21 going to talk about getting out on a limb, if it's not in
22 your top 40, somebody is going to be out on a limb. And
23 so I would be careful on that. I suspect it wasn't on the
24 list.

25 MS. BROOKS: Well, at one point, in our last

1 update, we just picked the rank of 40 to stop at, because
2 there was just, you know, so many.

3 PANEL MEMBER BLANC: But, you know, it's very
4 hard to believe given the level of toxicity that you're
5 dealing with. I think you better go check your list, but
6 I'll tell you --

7 MS. BROOKS: We'll do that. We're going through
8 that process this year.

9 PANEL MEMBER GLANTZ: -- It's very, very
10 troubling. I mean, this issue has come up at this panel
11 now for the last half a dozen meetings, and we have been
12 told over and over again by ARB that this was going to be
13 dealt with expeditiously. And every meeting we hear that
14 in two weeks there will be a letter, you know. I mean,
15 it's just ridiculous.

16 CHAIRPERSON FROINES: But I think the reason I
17 suggested sending a letter to the Executive Officer is
18 it -- I don't want to pick on Jeanette, because it's not
19 within her --

20 PANEL MEMBER GLANTZ: No, I agree.

21 CHAIRPERSON FROINES: She has to. She's caught
22 between --

23 PANEL MEMBER GLANTZ: No, I understand.

24 MS. BROOKS: I'm used to being caught. That's
25 all right.

1 PANEL MEMBER GLANTZ: I understand, but I think
2 it's important, though, to state for the record that I
3 think, in terms of this specific issue, the ARB has not
4 been responsive to the suggestions of this panel. And to
5 bring forward a report on exposure of children to toxics
6 that ignores ETSs from a -- I mean, I understand what the
7 legal issues are, but from a scientific point of view it's
8 really embarrassing.

9 You know, and if you read your own report, which
10 was approved by this panel, there are, in fact, one or two
11 chapters in there that deal with effects on children.
12 And, in fact, the evidence on health effects of ETS, the
13 oldest and best established evidence going all the way
14 back into the fifties, sixties and seventies is affects
15 the children, asthma and other issues like that. So, I
16 mean, I think we need to get this resolved.

17 CHAIRPERSON FROINES: I think we should move
18 ahead. The point has been made and made and made. And
19 the frustration is the fact that it's been made and made
20 and made, but we shouldn't -- I feel a need to redo it
21 again.

22 MS. BROOKS: We understand the panel's concern.

23 PANEL MEMBER FRIEDMAN: Can we vote on your
24 letter on this motion.

25 CHAIRPERSON FROINES: Oh, I'm sorry. You're

1 right, we didn't vote.

2 All in favor?

3 (Ayes.)

4 CHAIRPERSON FROINES: Did you want to comment or
5 leave it as stated?

6 DR. PRASAD: Leave it as stated.

7 CHAIRPERSON FROINES: I saw you move forward at
8 one point and thought you were going to come to the table
9 and I wanted to give you the opportunity.

10 DR. PRASAD: Shankar Prasad from ARB Chairman's
11 office.

12 DR. PRASAD: Basically, I would add hear is that
13 there is an interest from the Chair's office and the
14 Executive Office to move forward on that, but certainly
15 it's been held up because of the reasons. There has been
16 a constant dialogue going on between the two agencies
17 OEHHA and the ARB. And I'll carry the message about the
18 panel's interest and certainly you will hear from us.

19 CHAIRPERSON FROINES: Thank you.

20 Melanie, I think we are now, unless I'm
21 mistaken -- Paul, did you want to pose some specific
22 questions?

23 PANEL MEMBER BLANC: Well, first I'd ask do you
24 want to take a short break before we do that, because it's
25 10:30 and this is going to be a --

1 CHAIRPERSON FROINES: Take awhile?

2 PANEL MEMBER BLANC: Take awhile.

3 CHAIRPERSON FROINES: Let's take a ten-minute
4 break.

5 (Thereupon a brief recess was taken.)

6 CHAIRPERSON FROINES: Back to work. Can we
7 begin, please.

8 PANEL MEMBER FUCALORO: Can we have the lights
9 on, please. Is that a problem for anyone seeing that
10 screen without the lights on?

11 CHAIRPERSON FROINES: Jim, Bill, we're going to
12 start.

13 SUPERVISING TOXICOLOGIST MARTY: I don't know if
14 anybody had comments on Table 1, which was the big ranking
15 table that we put actually into the document with reasons
16 for conducting the literature search and reasons for
17 deferring?

18 It starts on page 8.

19 PANEL MEMBER GLANTZ: Yes. I had one. First,
20 this is a great help in the report, but I think that it's
21 very confusing to have several compounds appear on several
22 of these lists. And so I think that the -- what I would
23 suggest doing is having nonintersecting lists, where you
24 would have your -- one table would be the five final
25 compounds and another table would be your Tier 2 or what

1 we end with up as Tier 2, another one would be the, I
2 think it was, the list of 35 this table here, table 20,
3 but excluding the 11.

4 And then this table one would be the low priority
5 ones, which would exclude the 35, because I just think
6 right now it's a bit confusing to have things keep
7 reappearing, but other than that, I thought it was much
8 clearer than before.

9 SUPERVISING TOXICOLOGIST MARTY: The purposes of
10 the tables are a little different, too. This is the
11 initial ranking where we used ambient data and so forth
12 not what's on your screen, but Table 1 in the document,
13 the preliminary ranking and initial prioritization, so
14 those are the chemicals -- I think we need to have the 11
15 and 35 in this table also, because you need to know what
16 the rankings were and what our reasons were for conducting
17 a literature search, but we can create these other tables
18 that we talked about before.

19 PANEL MEMBER GLANTZ: Well, so what --

20 DR. MARTY: We actually have created a table
21 which you have in front of you as Table B. This was the
22 list of the chemicals that fell out, because they didn't
23 either have ambient data or we didn't have a quantitative
24 handle on the toxicity. And then you folks asked us to
25 add why, what was the reason for each one of those, so we

1 created this Table B, which you have in front of you.

2 PANEL MEMBER GLANTZ: Where is Table B?

3 PANEL MEMBER BLANC: It starts on -- it was
4 handed out.

5 SUPERVISING TOXICOLOGIST MARTY: It was just
6 handed out separately.

7 PANEL MEMBER FUCALORO: It looks like this.

8 PANEL MEMBER GLANTZ: Oh, okay.

9 SUPERVISING TOXICOLOGIST MARTY: So that's
10 another table that, I think, Stan, actually you asked us
11 to put that together.

12 PANEL MEMBER GLANTZ: Right.

13 SUPERVISING TOXICOLOGIST MARTY: I'm not sure
14 that we want that in the document or not.

15 CHAIRPERSON FROINES: Which one is that, XX?

16 SUPERVISING TOXICOLOGIST MARTY: It's Table B.

17 PANEL MEMBER GLANTZ: Well, no, I think that
18 should be in the document, because I just think it needs
19 to be very clear as to what was considered and why of all
20 the potential TACs that there were, you know, everything
21 that could potentially be considered should be listed
22 somewhere in the document so people can see that it was,
23 in fact, thought about even if it was decided that it
24 wasn't worth the Table B ones. So I would like to see
25 this in the document.

1 So Table 1 includes all the stuff in Table B,
2 too, no.

3 SUPERVISING TOXICOLOGIST MARTY: Table 1 includes
4 the ranking of the chemicals that had ambient air data and
5 the either RELs or potency factors or both. And also we
6 added other chemicals that didn't have ambient air data
7 because we were worried about the toxicity. The Table B
8 is basically the 200 plus TACs minus all of those that
9 ranked, so it's the ones that fell away in the very
10 first --

11 PANEL MEMBER GLANTZ: So if you take Table 1 and
12 Table B and put them together, that's all however many
13 TACs there are?

14 SUPERVISING TOXICOLOGIST MARTY: That's right.

15 PANEL MEMBER GLANTZ: Okay.

16 PANEL MEMBER FUCALORO: Yeah, I have a question
17 about Table 1, there's almost a correlation of one, not
18 exactly for those substances that have ambient air
19 concentrations that are printed in unbold type, that is to
20 say it's typed -- it's obviously data from other than
21 California, but some of them have very high ambient
22 concentrations.

23 And in your reasons for deferred search --
24 deferring the search, sometimes you just say low
25 emissions, and yet there's a number that's pretty large in

1 the ambient air concentration. That's somewhat confusing,
2 I think, and somehow that would have to be explained.

3 For example, Acrylonitril, number nine on your
4 Table 1, has a. -- by my lights and I'm not an expert on
5 this, it has .66 micrograms per cubic meter. And say low
6 emissions, but yet it's a pretty high number.

7 SUPERVISING TOXICOLOGIST MARTY: It's low
8 emissions in the California Air Toxics Hotspots Database.
9 And those numbers came from a compilation that US EPA did
10 of the measurements around the country.

11 PANEL MEMBER FUCALORO: No, that's understood. I
12 gathered as much from the one footnote you have based on
13 other numbers are from various sources as compiled by US
14 EPA in 1993, which is old data, of course.

15 SUPERVISING TOXICOLOGIST MARTY: Right, and
16 actually a lot of their compiled data are even much older
17 than that.

18 PANEL MEMBER FUCALORO: Well, maybe a few words,
19 I don't know, in the text, that explains why some of those
20 things were eliminated.

21 You see, one of the problems I have in trying to
22 understand how this priority list was developed is things
23 like that, for example, you look at 1-2 dibromo, DBCP,
24 3-chloropropane is eliminated, but yet arsenic and
25 formaldehyde -- and you look at the ambient air

1 concentration is high, but really it's because it's not
2 really high in California. Maybe that's the reason, and I
3 think that ought to be made clear I think at least in the
4 text, so that one can get a better handle on how you've
5 actually compiled the list.

6 CHAIRPERSON FROINES: Tony, I'd almost argue that
7 the Acrylonitril is a good example of a number that should
8 not be even listed. Why list it? What's the purpose of
9 it, because it's in --

10 PANEL MEMBER FUCALORO: You may be right.

11 CHAIRPERSON FROINES: You know, if we were in
12 Delaware and we were near the Dupont Chamber Works that
13 would be one thing, but we're not. And so the point is
14 why list values that are nationally based data rather than
15 California based data, which may have zero relevance to
16 California?

17 PANEL MEMBER FUCALORO: I think you've cut it to
18 the heart much quicker than I have. I think that's
19 exactly right.

20 PANEL MEMBER BLANC: I think the solution to both
21 of your comments would be to change the word "low
22 emissions" to "low California emissions." If you just put
23 that on the table, because, you know, in terms of
24 transparency, I think it's good to include the numbers as
25 long as you're making sure why it's not driving the

1 decision.

2 CHAIRPERSON FROINES: Well, I think there's one
3 other issue that if Roger were here he would raise, which
4 is there are compounds that come out of sources, say
5 acrylonitrile from Dupont, but there are also atmospheric
6 transformation products that may have relevance in
7 California, even though the numbers come from outside of
8 California, so that if that were the case, then you might
9 want that in.

10 SUPERVISING TOXICOLOGIST MARTY: I think, you
11 know, we have to keep going back to this is a
12 prioritization process and we use data that we had that
13 were available to us.

14 PANEL MEMBER FUCALORO: Yeah, but just be clear,
15 that's all we're saying. And what Paul suggested "low
16 California emissions" or even better "low California
17 concentrations."

18 SUPERVISING TOXICOLOGIST MARTY: Well, I would
19 hate to say that, because we don't know what the
20 California concentrations are, so I don't want to --

21 PANEL MEMBER FUCALORO: Fair enough.

22 CHAIRPERSON FROINES: The point I think everybody
23 is making it goes back to the transparency issue, is that
24 any number that's in any table one should be able to
25 understand it and not have to interpret it.

1 SUPERVISING TOXICOLOGIST MARTY: I can pull in
2 more information from the compilation, which describes
3 what they did, but even then it's hard to know how good
4 that data is. We definitely weighted the California Air
5 Resources Board's data more --

6 PANEL MEMBER FUCALORO: Sure, rightfully so.

7 SUPERVISING TOXICOLOGIST MARTY: -- because it's
8 more representative of chronic exposures for one.

9 PANEL MEMBER FUCALORO: But, again, I'm not
10 asking for me. I'm not asking for anything extensive,
11 just make some little indication that these are -- that
12 it's not "low California emissions" I understand that.

13 SUPERVISING TOXICOLOGIST MARTY: Okay.

14 CHAIRPERSON FROINES: Melanie, can I make one
15 specific request? And it's really on behalf of Roger
16 Atkinson. At the last meeting, Roger raised a number of
17 questions about the ambient concentrations of acrolein in
18 California and argued that the numbers were much lower
19 than what had been previously estimated. I would
20 appreciate you folks talking with Mike Port at ARB and try
21 and come up with some reasonable estimate of what ARB
22 thinks the acrolein concentrations, because this is an
23 extremely important issue.

24 Acrolein is an extremely toxic chemical as we all
25 know. And having some sense of what, to the degree that

1 we can, of what the realistic airborne concentrations
2 would be, I think, is particularly useful.

3 SUPERVISING TOXICOLOGIST MARTY: Sure.

4 PANEL MEMBER BLANC: So we're still on Table 1.
5 Are there any chemicals that appear on Table 1 which were
6 deferred for literature search, which are capable of
7 inducing methemoglobinemia.

8 CHAIRPERSON FROINES: Are capable of what?

9 PANEL MEMBER BLANC: Inducing Methemoglobinemia.

10 SUPERVISING TOXICOLOGIST MARTY: If we knew that
11 they were capable of doing that, we would have flagged
12 them, since that's an issue for us.

13 PANEL MEMBER BLANC: Even with low ambient
14 levels?

15 SUPERVISING TOXICOLOGIST MARTY: Well, it would
16 depend on what data we had, how good the data were, but we
17 would be concerned about something that induced
18 methemoglobinemia.

19 PANEL MEMBER BLANC: So can I make a special
20 request that you have your toxicologist go back over that
21 list and double check, because I'm not going to have the
22 time to do that?

23 SUPERVISING TOXICOLOGIST MARTY: Sure, that's
24 fine.

25 PANEL MEMBER FRIEDMAN: Why is that important?

1 PANEL MEMBER BLANC: Because infants are
2 particularly susceptible to not being able to cope with
3 methemoglobinemia, because they don't have developed
4 Methemoglobin.

5 PANEL MEMBER FRIEDMAN: And what is the result to
6 them of not being able to cope with it very well?

7 PANEL MEMBER BLANC: They could have hipoxic
8 injury or hemolysis. The main issue for infants is in
9 drinking water exposure to fertilizer runoff, but since
10 the statute requires consideration of concomitant exposure
11 with other routes of exposure.

12 PANEL MEMBER BYUS: Contaminated well water, too.

13 PANEL MEMBER BLANC: But usually from runoff, I
14 suppose.

15 PANEL MEMBER BYUS: Coli makes the nitrates that
16 also cause it.

17 CHAIRPERSON FROINES: What did you say?

18 PANEL MEMBER BLANC: Ecoli.

19 PANEL MEMBER BYUS: Is contaminated well water.

20 PANEL MEMBER BLANC: Then could you clarify
21 something else, I know we discussed this at the last
22 meeting, but I don't remember the answer for Methyl
23 Bromide?

24 CHAIRPERSON FROINES: What number is it, Paul?

25 PANEL MEMBER BLANC: Number 78, which then makes

1 it into the literature review, although other things don't
2 make it into the literature review because they're
3 pesticides. So was there a nonpesticidal use of Methyl
4 Bromide that was why?

5 SUPERVISING TOXICOLOGIST MARTY: Yes, that's why.

6 PANEL MEMBER BLANC: What is the nonpesticidal
7 use?

8 DR. ALEXEEFF: George Alexeeff. It's a
9 pesticidal use, but Methyl Bromide falls under a
10 different -- there's another law which requires the air
11 districts to permit or did require the air districts to
12 permit fumigation chambers. So it fell under the Air
13 Board's jurisdiction.

14 PANEL MEMBER BLANC: And is that other wise then
15 excluded by the specific statutory language of this law
16 which said that pesticides -- which reiterates? Could
17 legal counsel comment?

18 OEHHA COUNSEL HECK: As I mentioned briefly
19 before, it is clear that pesticides and their pesticidal
20 use are excluded from the ranking process and the related
21 processes that happen after that under SB 25. So if
22 Methyl Bromide were to be examined, it would have to be in
23 other than its pesticidal uses.

24 SUPERVISING TOXICOLOGIST MARTY: I think it's
25 because it's emitted from a stationary source that it can

1 be evaluated, rather than its use on a farm or in a field.

2 OEHHA COUNSEL HECK: Well, to follow up on that,
3 I think, Melanie is correct, there is -- one of the
4 clarifying statements in the law is that the manufacturer
5 of the pesticide is not the pesticidal use of that
6 pesticide. In other words, it's fair game in this
7 statute. So if that were the source of the emissions,
8 that could be evaluated.

9 DR. ALEXEEFF: Actually, if you look at the
10 statute states toxic air contaminants evaluated and listed
11 pursuant to the section shall not include substances in
12 those uses that are not subject to regulation by the State
13 Board to this chapter.

14 It doesn't actually use the word pesticides, and
15 Methyl Bromide as this unusual fumigation chamber, which
16 are subject to regulation by the air districts, and that's
17 why it falls under this. But general pesticidal use of
18 most pesticides is not subject to the Air Boards. This is
19 one exemption because of the fumigation chambers. We can
20 look at that. Why don't we look at that. That's my
21 understand. Why don't we look at that one and have the
22 Air Board double check on that one.

23 PANEL MEMBER BLANC: It's certainly going to
24 confuse -- it confuses me, so I suppose anybody reading
25 this document who says okay, well I see pesticides are

1 dropping out in Table 2, and then there's Methyl Bromide,
2 so there needs to be a footnote perhaps.

3 But then in light of the other statement, since
4 there was not one single astacolon esterase inhibitor
5 included in the literature review certainly. And actually
6 I don't know if there are any in Table 2, which then drop
7 out. There may be some that fall in the column of
8 pesticides. Are none of those pesticides manufactured in
9 California for which there might be hotspot releases?

10 SUPERVISING TOXICOLOGIST MARTY: I don't know.
11 We don't have that information from the Air Board.

12 CHAIRPERSON FROINES: There is a company in
13 southern California that does manufacturer pesticides or
14 did because we used to take students to it to show them
15 pesticide manufacture. So I can give you the name of the
16 company. I don't remember it off the top of my head, but
17 there was not too many years ago.

18 PANEL MEMBER BLANC: Well, I think it would be
19 useful to have some sentences somewhere in the document,
20 perhaps, which say the following organophosphate
21 pesticides are manufactured in California and we may have
22 to return to hotspot emissions for them even though
23 they're not included in this document. Perhaps in the
24 same paragraph wherein you say, in general, we have not
25 looked at pesticides because we're prohibited in their

1 pesticidal use. However, their manufacturing would be
2 covered, but we haven't addressed it, but we will address
3 it. And in that same paragraph perhaps you can then talk
4 about Methyl Bromide.

5 SUPERVISING TOXICOLOGIST MARTY: Sure.

6 CHAIRPERSON FROINES: This issue raises a
7 question, which is if Methyl Bromide is one of the
8 compounds that can be considered because of this special
9 fumigation chamber issue, does that mean that by your
10 evaluation it ranked 78th? Because Methyl Bromide
11 talks -- I mean if I had to choose between glycol ethers
12 and Methyl Bromide, I think I'd choose Methyl Bromide in
13 some respects.

14 SUPERVISING TOXICOLOGIST MARTY: We couldn't rank
15 it, because we didn't have concentration data. But, you
16 know, I would ignore that -- I wish we could -- their
17 ranking numbers are not as meaningful as you would like
18 them to be. Because of all of the data gaps, is issue of
19 bringing in other information on emissions from stationary
20 sources and the toxicological considerations, it's
21 difficult to just say this chemical is number 80 and that
22 chemical is number 59.

23 CHAIRPERSON FROINES: But one of the things that
24 we keep pressing you on is this notion of transparency.
25 And when you end up with up with statements like that,

1 means that anybody who's reading the document, it
2 obviously leads to some level of confusion. If you have
3 something that says 78, but you say it doesn't matter,
4 then how do we understand it?

5 SUPERVISING TOXICOLOGIST MARTY: It matters only
6 if you had the information to rank the chemical to begin
7 with and only if there is no other reason to be concerned
8 about that chemical, i.e. from stationary source emissions
9 or because you know it's a developmental toxicant.

10 CHAIRPERSON FROINES: Well, then would it be
11 better just to have an alphabetical list rather than put
12 it with a ranked number?

13 SUPERVISING TOXICOLOGIST MARTY: We can do that.
14 We can alphabetize it.

15 PANEL MEMBER GLANTZ: Yeah, I think that would
16 make a lot more sense given the way the process went. And
17 see if you did that, then, I mean, what you could do -- I
18 keep wanting to break -- have things not appear in
19 multiple tables, see then you've got your -- as I figured
20 it out, finally, the Table 20 your XX is all of the stuff
21 in Table 1, which has an entry under reasons for
22 conducting literature search. I finally figured that out.

23 And so then what you could do is you could have
24 one table, which is all the stuff that you've deferred in
25 alphabetical order, and then Table 20 would be all of the

1 things where you have conducted a focus literature search.
2 And what you could do, at that point, is maybe even
3 combine the information that's in Table 1 and the
4 information that's in table 20 for those compounds, and I
5 think that would also be less confusing.

6 PANEL MEMBER FUCALORO: Well --

7 PANEL MEMBER GLANTZ: And then it becomes clear
8 as to why you did what you did, because you didn't -- you
9 know, as I've come to understand the process, you didn't
10 really much use these numerical rankings in the end. And
11 so, I mean, you sort of use them a little bit, but in the
12 end what happened was you identified those things where
13 there was a reasonable justification for doing the
14 literature search. And, you know, and not a good reason
15 not to do it, you know, like no emissions in California or
16 something and so that separates them, I think, much more
17 clearly.

18 DR. MARTY: Okay.

19 PANEL MEMBER GLANTZ: And then the 11 that you
20 ended up with in your Tier 1 and Tier 2, those things
21 really came out of the more focused literature reviews
22 rather than this arithmetic ranking.

23 SUPERVISING TOXICOLOGIST MARTY: Yes.

24 PANEL MEMBER GLANTZ: So given that that's the
25 case, I just think it would be much clearer to get rid of

1 the numerical rankings.

2 CHAIRPERSON FROINES: I'm getting nervous about
3 time, because we have six chemicals to go through today,
4 and we're spending -- all of this the highly relevant, but
5 it also is something that, I think, we should get passed.

6 So I think Paul had some specific questions to
7 raise, but then I think we should move as quickly as we
8 can to the actual substances of concern.

9 SUPERVISING TOXICOLOGIST MARTY: Okay. Paul, had
10 questions on table 20, that's the information that we
11 developed for the panel in response to their request four
12 of the 35, why did some end up in the 11 and some didn't,
13 so that's why we developed this table. And it is
14 alphabetical, and we took away the numerical noncancer and
15 cancer rankings and put them into bins of low, medium
16 moderate. I should say not medium, low, moderate,
17 moderately high and high.

18 PANEL MEMBER BLANC: Okay. So let me first say
19 that I think it is important to have a table like this,
20 and I don't have a fundamental problem with the structure
21 of the table, but I have to say that the content of the
22 table, to the extent that I was able to cross check
23 information, I found deeply disturbing, and suggested to
24 me strongly that your literature reviews were either two
25 possibilities, one is that your literature reviews were,

1 in certain cases, terribly flawed or else the
2 interpretation of the literatures reviews by OEHHA somehow
3 short-circuited. I don't think the latter the probably
4 the case and you have admitted the understandable
5 challenges of the time crunch.

6 So I'm going to take some examples. They were
7 things that I was most suspicious of and most concerned
8 with. So they may be the worst case scenarios, but
9 nonetheless they're so disturbing, that I think there has
10 to be some real content addressed here on the part of
11 OEHHA and senior staff.

12 So let's start with carbon disulfide. What it
13 says here is the evidence for concern is a transient delay
14 in behavioral development among young animals siting in
15 1980 study, that I'm going the leave aside the cancer
16 ratings. That's not the issue.

17 Inadequate data. "No studies directly addressing
18 age-related susceptibility."

19 Here's a study from 1987, Metabolism and
20 Distribution of Label Carbon Disulfide in Immature Rats at
21 Different Ages.

22 This study demonstrates clearly that young rats
23 metabolize the material differently and more slowly,
24 therefore have higher or more persistent levels. Last
25 sentence of the abstract, "The rats showed that

1 elimination of the biotransformation products of SC2, in
2 particular, the covalent binding of sulfur metabolize was
3 prolonged in new-born rats in comparison the 40-day old
4 rats."

5 Now, it may be that you didn't feel that this
6 study, you know, rose to the level of supporting concern,
7 but given the fact that most of the time you were saying
8 there was no study at all. I mean, this ipso facto is
9 enough to make you want it included among the 11, I would
10 say, or in your final group.

11 SUPERVISING TOXICOLOGIST MARTY: Well, it
12 certainly made us want to include it the 35.

13 PANEL MEMBER BLANC: Well, it's not cited in the
14 table in either place and yet this the -- and in the table
15 it says, "No studies directly addressing age-related
16 susceptibility." This the a study which directly
17 addresses age-related susceptibility, and, in fact,
18 confirms that there is likely to be age-related
19 susceptibility.

20 And if you're asking me as a scientist to review
21 your document and approve it, when, in fact, there's
22 something which is so scientifically inadequate and
23 inaccurate, it's extremely concerning to me, because I
24 don't know where else there are similar errors. So on the
25 one hand the demand of making the table, puts you in a

1 certain vulnerability because it means that you're going
2 to have to say things that you can stick by.

3 But I have no way of knowing that you looked at
4 this and this the not what you mean by that statement or
5 did you never see this study?

6 SUPERVISING TOXICOLOGIST MARTY: Okay, I would
7 have to ask the staff people that looked at CS2, but yeah,
8 I didn't realize we said no studies. I don't if they
9 meant no studies in humans or no studies looking at the
10 toxicity where you had young animals versus older animals.

11 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF
12 SALMON: I think the entry in the table specifically
13 addresses the or is designed specifically to address the
14 toxicological endpoints, rather than the metabolism or the
15 biomarkers for that effect, but I agree that perhaps in
16 this case there may have been less detail than this
17 finding deserved. I don't know.

18 PANEL MEMBER BLANC: Well, given the level of
19 evidence that you lack for most things, which was your
20 rationale for not moving them into the final category,
21 which I think is reasonable, this kind of evidence which
22 is, you know, sort of as clear cut as you can get that
23 there is a preferential susceptibility on a biokinetic
24 basis, which you spend a great deal of time in your
25 general introduction saying it's the reason that the, you

1 know, a difference between younger versus older animals,
2 since we don't have it generally in humans.

3 And then you have a study where this chemical has
4 been tested and it has been shown. I'm really at a loss
5 as to then why it wouldn't be in your final group. You
6 know, it's a very widespread ambient chemical. You know
7 that it has neurotoxic. I mean it's a, b, c, d, e. It's
8 met everyone of your criteria.

9 SUPERVISING TOXICOLOGIST MARTY: Let's go back to
10 the fact that we can only pick five.

11 PANEL MEMBER BLANC: I'm talking about the 11.
12 We're going to get to the five later on. I'm talking
13 about --

14 SUPERVISING TOXICOLOGIST MARTY: Even the 11 we
15 had --

16 PANEL MEMBER BLANC: Who said?

17 SUPERVISING TOXICOLOGIST MARTY: -- heavily
18 weighted to toxicology information. So if you look at
19 CS2, what kinds of data do you have on developmental
20 effects? It really isn't very much, even though as you're
21 pointing out there's a good mechanistic reason why you
22 would expect that compound to be worse in young animals.

23 So it's not that we ignored it or that we don't
24 think it's important, it's that we think that for these
25 other compounds we actually have stronger and more

1 studies.

2 PANEL MEMBER BLANC: But we don't see, as a
3 panel, your literature reviews on anything except for the
4 11. So you're asking us to accept, and that's why we
5 asked for Table XX. And then you give us Table XX, which
6 is fatally flawed, what am I supposed to do as a scientist
7 in my role as a reviewer of the scientific validity of
8 your document?

9 PANEL MEMBER FUCALORO: Let me ask a question,
10 just for a minute and it's related to this.

11 The paper he cited seemed to be relevant to me.

12 DR. MARTY: Yes.

13 PANEL MEMBER FUCALORO: And my question simply is
14 were you aware of this paper?

15 SUPERVISING TOXICOLOGIST MARTY: I was not
16 personally aware of this paper.

17 PANEL MEMBER FUCALORO: Was the reviewer aware of
18 this paper, I mean isn't that what you're getting at?

19 MR. LEWIS: Which paper was that you were saying?

20 PANEL MEMBER BLANC: It is Drug Metabolism Debt
21 Disposition 1987?

22 PANEL MEMBER GLANTZ: I think the reporter wants
23 your name.

24 MR. LEWIS: David Lewis, OEHHA.

25 PANEL MEMBER BLANC: Was that in your list?

1 MR. LEWIS: I don't believe -- you know, I don't
2 believe it was.

3 PANEL MEMBER BLANC: Okay. How about Zhaosf,
4 Z-h-a-o-s-f, et al, The Evaluation of Developmental
5 Toxicity of Chemicals Exposed Occupationally Using Whole
6 Embryo Cultures, International Journal of Developmental
7 Biology, 1997. Is that a reference that sounds familiar
8 for carbon disulfide?

9 CHAIRPERSON FROINES: Why don't you say what it
10 shows, Paul?

11 BOARD MEMBER BLANC: Also, it's not as, you know,
12 convincing a study, but it also does show some invitro
13 evidence that there were developmental effects from carbon
14 disulfide. Invitro studies showed that, blah, blah, blah
15 while carbon disulfide, 1-2 dichloroethane and vinyl
16 chloride mainly induced embryo growth retardation.

17 SUPERVISING TOXICOLOGIST MARTY: Well, we could
18 get those studies and take another look, but you have to
19 realize it's going to have to overshadow the data that are
20 available for the other chemicals.

21 PANEL MEMBER BLANC: I'm raising a fundamental
22 question about the quality of the hired out literature
23 reviews that you had for certain chemicals. If I can go
24 on to MedLine and in, you know, an hour or two of work of
25 things that I'm particular suspicious of, I grant you,

1 find a series of citations which are inconsistent with
2 your table, and which also make me wonder, well, how did
3 this chemical not make it to the final group, and I don't
4 have the documents to then cross check against, because
5 we're not supplied because they dropped out, it puts me in
6 an incredible double bind.

7 MR. LEWIS: Well, I think my overall impression
8 of the human and animal data, as a whole was that effects
9 were seen at approximately similar levels. You know,
10 You're raising these metabolic studies that seem are
11 interesting and I --

12 PANEL MEMBER BLANC: Well, I'd be happy to give
13 them to you.

14 PANEL MEMBER BYUS: Just a general comment. It
15 addresses the same point. I mean, I was struck by kind of
16 a very significant review of the pharmicokinetic,
17 toxicokinetic differences, and then also the differential.
18 And neither exposure parameters or any toxicokinetic
19 differences are listed in your table at all. I just look
20 it over again.

21 None of those two criteria, which speak to the
22 relative amount of exposure and/or internal dose are
23 mentioned in this table. You steal almost exclusively
24 with the toxicology endpoints, which is, I suppose -- well
25 I don't know whether it is okay. But you don't mention

1 any of those other two parameters whatsoever.

2 I mean, I would have -- when I got this table, my
3 thinking was, I think it is much better that we have this
4 table than when we didn't have the table, but I would have
5 divided it up into the three different areas of exposure
6 differences, toxicokinetic differences and then, what I
7 would call, farmico dynamic or toxico dynamic differences
8 that address susceptibility either developmental or
9 neurological or whatever.

10 So, I mean, what he's saying is he just happened
11 to pick out now a difference at the level of metabolism or
12 toxicokinetics, but there's no references to any of those
13 two parameters in the table.

14 SUPERVISING TOXICOLOGIST MARTY: Well, we did
15 weight direct toxicology studies heavily, especially in
16 this first iteration, where we have to come up with up to
17 5. I mean, it's not to say that we're ignoring all the
18 other information or that we're not going to consider it
19 when we update the list, which we are allowed the do under
20 law and actually required to do under law.

21 But for this first go round, we heavily weighted
22 studied where there was direct toxicology information.

23 PANEL MEMBER FUCALORO: But you see the problem
24 that now I have, that Dr. Blanc had before, but now he's
25 an expert in this area. And we all rely on each other's

1 expertise on these sorts of things. And he's cited now
2 two papers that have been overlooked. And this causes him
3 some concern and I must admit it spills over to me quite a
4 bit. We want to be confident that when it says a
5 literature search has been done, it's relatively
6 exhaustive and inclusive. And now I'm feeling less
7 confident that that's happened. And I think that's the
8 point he's making.

9 And the other issue is how much do you include in
10 the little box. I understand that, and that we can argue
11 about, but that's not as fundamental as the question or
12 the issue presented to us by Dr. Blanc.

13 SUPERVISING TOXICOLOGIST MARTY: Yes, I would
14 agree that that is disconcerting that our lit reviewers
15 did not pick those studies out. However, I still think
16 that people need to realize we focused heavily on where we
17 actually had toxicology studies that looked at either
18 young animals or humans.

19 PANEL MEMBER BLANC: Well, if you did, then isn't
20 all the more an indictment that your literature review
21 didn't meet -- I mean, we're not talking about when you do
22 a focused literature review, in fact, you're really not
23 talk about that many papers.

24 SUPERVISING TOXICOLOGIST MARTY: Right.

25 PANEL MEMBER BLANC: So therefore why weren't

1 these two included, out of, you know, I don't know how
2 many papers the person who you hired to do the literature
3 review actually found that were on point 5, 3.

4 You know, I mean I'm not talking about general
5 review of carbon disulfide toxicity.

6 Now, I'm going to go on to another example
7 manganese. What your table says is, "Neonate may be more
8 at risk because intestinal absorption is higher excretion
9 mechanism is absent, causing manganese to accumulate in
10 brain tissue." Then it says reason for lower and this is
11 why it didn't make it into the next cut. "Adult workers
12 exposed to manganese showed neurologic effects, but there
13 are no studies in children." Of course there are no
14 studies in children.

15 "Children with learning disabilities have been
16 shown to have higher manganese levels in their hair. The
17 weak evidence, hard to interpret."

18 Okay, so here's a paper from the Journal of
19 Applied Toxicology 2000. Neurotoxicity of manganese
20 chloride in neonatal, on adult CD rats following
21 subchronic 21 high dose oral exposure. Now that would
22 seem to be a paper that would be pretty much on point.
23 The purpose of this study was to evaluate the relative
24 sensitivity of neonatal adult CD rats to manganese induced
25 neurotoxicity.

1 Now, there's a series of different findings.
2 That's not a slam dunk study, but I will read you the
3 final line of the abstract. "The results of our
4 experiment suggest that neonates may be at greater risk
5 for manganese induced neurotoxicity when compared to
6 adults receiving similar high or oral levels of
7 manganese." Is that a paper which you reviewed?

8 SUPERVISING TOXICOLOGIST MARTY: It would depend
9 when in 2000 it came out, because now we're a year past
10 when we started to get the literature searches done.

11 DR. MORRY: David Morry, OEHHA. I didn't bring
12 all the manganese papers with me, but that sounds familiar
13 so I think we did see that paper.

14 PANEL MEMBER BLANC: Well, I think in fairness to
15 the committee, if you did I would certainly put it ahead
16 of the 1997 sort of weak inferential paper that you -- the
17 '87 paper. Here you have a very recent animal study, you
18 know, by established criteria, which is very strongly
19 indicative of a preferential effect.

20 SUPERVISING TOXICOLOGIST MARTY: We can add that
21 to the table. That's not a problem.

22 PANEL MEMBER BLANC: That's at a minimum. We're
23 going to come back to what needs to be in the final cut or
24 not, but I'm saying at a minimum. I mean, I'll really
25 angry about this. I'm not happy at all, because you're

1 asking me to put my name on the scientific approval of
2 something which is inappropriate, from what I can tell.

3 DR. MORRY: We also wrote summaries for each of
4 these chemicals. And the information you're talking about
5 is probably in the summary.

6 PANEL MEMBER BLANC: Which is where?

7 SUPERVISING TOXICOLOGIST MARTY: Well, we didn't
8 provide summaries of all 35. We only provided summaries
9 of the 11.

10 PANEL MEMBER BLANC: Well, that's what I'm
11 saying, and I have been saying.

12 Now, there's another study, which is not quite as
13 strong, but nonetheless is relevant. It's a 1997
14 publication, so it's also more recent than anything cited
15 in the table, which is by Papas.

16 And that study shows portical thinning in young
17 rats. I believe it's young rats right from -- well,
18 actually, it's a fetal exposure, because it's from
19 conception to post-natal day 30, so it includes both in
20 utero and then young rats. And it shows some negative
21 findings, but it does show portical thinning, which the
22 authors interpret as being an important marker of
23 exposure. Now that's not a head on versus adults, but it
24 certainly is a study of neonates.

25 SUPERVISING TOXICOLOGIST MARTY: We apparently

1 didn't look at that study.

2 PANEL MEMBER BLANC: Okay. Then let's go on. I
3 have to answer, sorry, a page that I got.

4 Well, actually let me take a break and let other
5 people talk and let me answer a page.

6 CHAIRPERSON FROINES: Well, I think --

7 PANEL MEMBER BLANC: Because I have another
8 chemical to go on. I'll be right back.

9 CHAIRPERSON FROINES: The problem with Paul
10 walking out At this point is I think we're ready to go on
11 to the other chemicals unless others have comments at this
12 point?

13 Oh, melanie, why don't --

14 PANEL MEMBER FUCALORO: Why don't we -- I
15 don't -- he can go and continue this what he's doing and
16 point out some papers that maybe we missed. How are we
17 going to feel confident that the literature search was
18 complete? Are we going to get something like this, again,
19 with a list of references for each chemical? I mean, I
20 don't know. What the mechanism --

21 CHAIRPERSON FROINES: I think there's a question.
22 Well, there's a very difficult question that this raises,
23 because we know we have a July 1st deadline for this list
24 of five. And I think that, at this point, I may be wrong
25 to say this, but at this point I think this panel is going

1 to have trouble signing off on where we reach, wherever
2 that may be given the level of uncertainty.

3 So we have a problem that's actually related to
4 OEHHA's problem and they're obviously connected. But
5 we're going to have some questions about how we proceed
6 because, as Paul says, I don't, at this point, I don't
7 know how comfortable people will be signing off on some
8 document that says I'm comfortable with the materials that
9 have been developed. I don't know how you feel at this
10 point.

11 PANEL MEMBER WITSCHI: Lousy.

12 PANEL MEMBER GLANTZ: Well, I mean, I think that
13 the issues that are being raised are -- I mean, they are
14 not insoluble. And it may be -- I don't want to be stoned
15 for saying this, but I mean we may have to have another
16 meeting, you know, to -- I mean, I think that the issues
17 that are being raised are pretty concrete. I think that
18 the document is getting better fairly quickly, but I also
19 think there are still these unresolved issues. And it may
20 be that we'll have to finish this and, you know, give
21 OEHHA a chance to drink more coffee and stay up late at
22 night some more and hopefully these issues can be
23 resolved.

24 I mean July is like -- it's you know, it's a
25 while. It's soon, but it's not tomorrow.

1 CHAIRPERSON FROINES: Well, I think the
2 problem we have is we're going to have a discussion at
3 some point, this afternoon hopefully, about the list of
4 chemicals on the 11. And people are going to judge the
5 level of information that they have provided. What Paul's
6 point is bringing up is the question is, are there things
7 in the list of 11 that were missing? But we can have a
8 discussion about the list of 11, recognizing what we have
9 here.

10 PANEL MEMBER GLANTZ: Right, and we could also
11 have a -- we're not limited to only talking about those
12 11. I mean I think if there are others which ought to be,
13 you know, seriously discussed, then we can discuss those
14 too. And it may just be -- I mean, one other question
15 that we might want to think about is what the law requires
16 is five. And maybe we should have a list of five and
17 then other.

18 You know, we have basically, we've gone through
19 this iterative process, and there's the list. There
20 doesn't seem to be a lot of controversy between the list
21 of 35 and the rest, that people seem reasonably
22 comfortable with.

23 And so the so-called list of 11 is drawn from the
24 list of 35. And maybe what we ought to be doing is come
25 up with a list of five and then the other 30 and leave out

1 the Tier 2, because I think that there's nothing that
2 requires us to have a Tier 2 right now. The law
3 explicitly says that they'll be a continuing review, and
4 then some of these issues become less sharp, you know.

5 And then we don't have to argue about whether
6 they're in the list of 11 or not 11. I mean the law says
7 there have to be five, and we can have those five and the
8 other ones which seem to be of reasonably high priority
9 for further discussion later. And that maybe one way.

10 Then the argument is what should the five be,
11 that's really the important question.

12 CHAIRPERSON FROINES: Were you going to say
13 something, Gary?

14 PANEL MEMBER FRIEDMAN: No.

15 CHAIRPERSON FROINES: I think that I basically
16 agree with everything you said. I think that the question
17 will be will we feel comfortable signing off on a
18 transmittal letter that says that the reviews that we've
19 received of the five we ultimately select that we're
20 comfortable with, so that's just a decision what we'll
21 have to make.

22 PANEL MEMBER GLANTZ: Yeah, and we may or may not
23 be able to do that at the end of today, but I still think
24 we could -- I think that it will be possible to do it by
25 July.

1 DR. ALEXEEFF: Just one comment, you know the
2 July 1 deadline is a deadline for OEHHA, okay. And your
3 responsibility is to make sure you're comfortable with the
4 list that we've come up with, so if you're not comfortable
5 with it, you don't sign off on it, whether it's July or
6 August or whatever month it is.

7 So we have to wait until you feel that we've
8 brought all the scientific information before you. And
9 the fact that the list is not adopted pretty much falls on
10 us, our department, and, you know, it's our fault or
11 whatever, so that's --

12 CHAIRPERSON FROINES: But your.

13 DR. ALEXEEFF: Sure we'd like to meet the July 1
14 goal.

15 CHAIRPERSON FROINES: I mean, I think that this
16 panel will be very uncomfortable when July 1 comes up with
17 a list of five.

18 DR. ALEXEEFF: I can assure you the Director will
19 not adopt the list if you haven't signed off on it yet.

20 PANEL MEMBER GLANTZ: Well, I think Paul has now
21 returned and we should return the floor back to him.

22 DR. ALEXEEFF: So all I'm saying is if you are
23 not ready, let's say, by the next meeting to sign off,
24 then we wait until the following meeting to sign off.

25 I mean that's --

1 CHAIRPERSON FROINES: I think --

2 DR. ALEXEEFF: And the Director won't adopt it
3 until the panel feels that they've had sufficient review.

4 CHAIRPERSON FROINES: We hear that. I'm simply
5 trying to make clear what are the procedural questions
6 that we have to think about. Paul, can go back to the
7 specifics, but we're going to have -- I want to make sure
8 what issues we need to be thinking about as we go forward.

9 Paul, go ahead.

10 PANEL MEMBER BLANC: Well, I'm going to bring up
11 one more example. And, again, this is meant to be
12 exhaustive, but these are the ones that I thought were the
13 most --

14 CHAIRPERSON FROINES: Paul, can I interrupt you,
15 there is one question that I don't know quite how we're
16 going to resolve it. But, for example, is the use of
17 whether manganese should now move up to the list of 11 and
18 becomes a list of 12 from which five are chosen, that's a
19 separate and important issue we've haven't talked about
20 yet.

21 So go ahead.

22 PANEL MEMBER BLANC: Stan, made a suggestion and
23 I think we should come back to that discussion. But let
24 me just take one more example and then may be out of that.
25 In terms of methylene chloride, which is on page nine, the

1 Evidence of differential effects decide it is a Marginal
2 effect on spontaneous abortions and occupationally exposed
3 women."

4 So, again, presenting sort of very -- we're only
5 looking at this to because there's sort of this very
6 marginal reason. But then the reason for giving it a
7 lower priority, there is no data on developmental effects
8 in children. By that I guess you mean there's no data in
9 human children, which there isn't for anything virtually
10 that you have, so that's not really an issue.

11 Negative studies. Now this would be a lot more
12 convincing. There's a series of negative studies, you're
13 saying. It's been looked at. We have negative studies.
14 "No effect on birth weights, Bell et al. While exposure
15 to pregnant rats to CO results in higher CO in the fetal
16 blood, exposure to methylene chloride results in
17 equivalent CO in maternal and fetal blood."

18 So I thought that was interesting, okay, here's a
19 study of, you know, fetal transplacental exposure, so I
20 pulled the paper to look at it. Now, what the paper --
21 it's a very brief paper, but still it's on point. So what
22 it shows is in its two-line table that when the maternal
23 animals were given 500 parts per million of dichloro
24 methane. They had 8 parts per million of dichloro methane
25 of 176. And the fetal levels we dichloro methane were

1 115. So there were lower levels of dichloro methane in
2 the fetus.

3 But, in fact, the carbon monoxide levels were the
4 same 167 and 160, virtually the same statistically not
5 differentiable, although there was a wider variability,
6 which is of interest in the fetus, so some of the fetuses
7 clearly Got up to much higher levels in fact than the
8 maternal. So we don't have all the data, but the Standard
9 deviation for maternal is 12 and the Standard deviation
10 for the fetal is 31. So that it means that even within
11 the 95 percent confidence interval some of the fetal
12 animals had levels that were considerably higher.

13 This is in parts per million of carbon monoxide
14 not as a percent of carboxy hemoglobin. So it's a little
15 tricky to fully get, but I'm assuming that it would
16 parallel carboxy hemoglobin. I would have sort of a
17 completely opposite interpretation then of these findings,
18 because we know the fetal hemoglobin binds carboxy
19 hemoglobin more tightly than adult hemoglobin. So
20 therefore having -- even if they were the same level, it
21 would be worse for the fetus, and, therefore, be it the
22 developmental toxicity.

23 So my interpretation of the study is quite
24 different than OEHHA's apparent interpretation of the
25 study which may simply be OEHHA swallowing whatever the

1 hired gun said.

2 The second study that I thought was relevant, you
3 know, was a study which showed behavioral toxicity in the
4 offspring of rats while in the maternal exposure to
5 dichloro methane, which is from Toxicology and Applied
6 Pharmacology from 1980, so it's an old study, was coupled
7 with a publication from the same group in the same Journal
8 issue where they showed that it wasn't a teratogen, but
9 they did show this behavioral toxicity, which they felt
10 was probably related to carboxy hemoglobin production. So
11 I thought it was quite relevant. I don't know whether it
12 was included in your literature review.

13 By the way, the last paragraph of the first paper
14 reads, "The finding of elevated fetal carbon monoxide
15 concentrations in pregnant rats exposed to dichloro
16 methane argues that pregnant women should avoid exposure
17 to dichloro methane, which is used industrially in various
18 processes and in the home as a pain remover is because
19 maternal carbon monoxide exposure decreased oxygenation of
20 the fetus and chronic low level maternal exposure to
21 carbon monoxide may adversely affect fetal growth and
22 development."

23 So those were the three that I, you know, spent
24 time going through, you know, the major medical computer
25 database. But I don't know what would have happened if

1 I'd spent another couple of days going through the rest of
2 the things on this list. And it leaves me in a quandary
3 as to how to proceed, you know, appropriately with the
4 data on Table XX.

5 I mean, there are other things that I think --
6 but, in general, there seems to be a tendency to either
7 stack the deck with very weak evidence of the things that
8 you want to make the argument for discarding in the first
9 column and then having sort of a different standard for
10 what, you know, the lower priority reasons are in the last
11 column.

12 SUPERVISING TOXICOLOGIST MARTY: Well I can
13 assure you we weren't trying to stack anybody's decks.
14 You know, all I can say is I'll take the papers and bring
15 them back to staff and we can rediscuss these three
16 chemicals and take another look at the data for the other
17 30 something.

18 PANEL MEMBER BLANC: Well, without naming names,
19 can you tell me were these three reviews done by the same
20 consultant?

21 SUPERVISING TOXICOLOGIST MARTY: I'd have to look
22 it up.

23 I don't think so actually.

24 CHAIRPERSON FROINES: I'd actually think that
25 these comments are reflective of a larger problem, which

1 is that the document that we had had literature reviews of
2 the toxicity of the compounds. And I felt for a long time
3 not sufficient attention to the differential issue. And I
4 think this is like another example of that, so I think
5 that, in a sense, your consultants sort of wrote
6 literature reviews, but didn't give adequate attention to
7 the specific question, because the literature reviews that
8 we thought all were of the whole toxicity of the
9 compounds.

10 So, for example, on diesel we get to see the TAC
11 process over again and the industry comments. And so, in
12 a sense -- the point's made.

13 Gary.

14 PANEL MEMBER FRIEDMAN: I think you in view of
15 what Paul was brought up, we're going to need some kind of
16 evidence of quality control on the literature review,
17 either the staff, you know, sampled and for each of the
18 vendors that did this, you know, and did some of the stuff
19 that Paul did with going back to MedLine and looking for
20 other papers or some kind of duplication or validation of
21 what was done. I won't feel comfortable unless I see some
22 evidence of that.

23 SUPERVISING TOXICOLOGIST MARTY: Well, how about
24 if we just come back to the panel, and we can't do this in
25 two weeks obviously, with a summary on all 35 of the ones

1 that we chose for literature reviews? It shoots the
2 deadline, but --

3 CHAIRPERSON FROINES: Paul, how much time did you
4 put in would you say?

5 PANEL MEMBER BLANC: Four hours.

6 PANEL MEMBER FRIEDMAN: But, I mean, I still
7 won't know whether the literature review was complete.

8 SUPERVISING TOXICOLOGIST MARTY: Well, we can
9 update the literature reviews ourselves, and staff were
10 doing some double checking. And we actually added in
11 stuff that we found that the reviewers had not found, but
12 we can just start again and come back with the summaries
13 of 35.

14 PANEL MEMBER BYUS: Did you provide your
15 people -- I mean, I had the same feeling that you just
16 said the reviews are more of the general toxicology and
17 didn't focus on the differential issues. I mean, it's all
18 through here rambles around. And you have to try and
19 extract the differential issues out of it. And that's
20 really -- did you give them very specific query, do this,
21 do that, don't do this, do the next thing, because I think
22 I'm sure you did --

23 SUPERVISING TOXICOLOGIST MARTY: We told them
24 what we were trying to do. We didn't go as far as saying
25 use these key words please.

1 PANEL MEMBER GLANTZ: Well, I think one question
2 is do we want to see all 35 of the reviews or would --
3 because I worry that that's going to just drag on
4 interminably and in the end not really address the point.
5 I mean, is there a way to, you know, further wonderfulize
6 Table XX, you know, focusing narrowly on the questions,
7 you know, of differential susceptibility, you know, to go
8 back through your -- the 35 reviews and maybe do some
9 checking of the nature that Paul did?

10 SUPERVISING TOXICOLOGIST MARTY: It would be a
11 pretty big table.

12 PANEL MEMBER GLANTZ: Well, that's okay.

13 CHAIRPERSON FROINES: But let's focus the
14 question better than that, because it seems to me that one
15 question has to do with -- Paul has raised questions about
16 three very important chemicals. This is dimethyl sulfate
17 or something. These are three -- methylene chloride, for
18 example, is really very widely use, as we all know, and
19 we've been through a TAC process on it.

20 And I would argue that we're going to get a
21 presentation today on non-coplanar PCB's. And I can give
22 you my impression very quickly as to whether or not I want
23 to spend any time on that if there is sufficient evidence
24 on manganese or methylene chloride that they should be in
25 the list, because non-coplanar PCB simply is not a major

1 public health issue in California, as far as I know
2 anyway.

3 And so part of the problem, Stan, comes not just
4 about whether or not we have 35 better literature reviews,
5 but what should be on the list.

6 PANEL MEMBER GLANTZ: Well, no, but obviously the
7 purpose of doing this is to make that decision.

8 CHAIRPERSON FROINES: Well, somehow, I don't know
9 how to proceed on this. This is really quite very
10 difficult.

11 PANEL MEMBER BLANC: Well, I mean, I think that
12 one -- Melanie, I think that one middle ground would be,
13 and this is a direction I was headed at our last meeting,
14 and it was not clear to me from the revised -- from this
15 revision that, in fact, it was a direction that you were
16 going to go. It seems like perhaps not, and that Table XX
17 was an attempt to temporize that.

18 I think that there probably are things among the
19 35 that I would be comfortable seeing a table such as XX
20 and sort of briefed, you know, this the why we didn't
21 proceed with this, even though it made it into this 35.
22 That I think that there clearly needs to be a bigger group
23 than the 11, and I think that four of those 11 we do need
24 to have literature reviews, summaries just like you do for
25 the other 11.

1 I think at an absolute minimum, I've raised
2 enough doubt about these three chemicals that they need to
3 be among the final group for which we have summaries. And
4 I think that it would be useful to take some time with
5 this panel at this session today, other wise you're going
6 to be too far behind in time to highlight some other
7 substances, which just on a generic basis that would seem
8 to be enough suspicion despite what you have here on Table
9 20, and coming at Table 20 with some skepticism that, you
10 know, it's going to have to be sort of show me why they're
11 not, show me more as to why they're not in the final 15.

12 Whereas, there are other things for which I'm
13 willing to take -- you know, I don't want to have more
14 discussion on asbestos, I don't need to see that more.
15 So, you know, that's okay. And I think carbon
16 tetrachloride given, you know, what exposures are like in
17 the ambient air, I don't need the see more about that. I
18 think chlorine I did raise an issue just in terms of the
19 consistency before, so maybe that would be something that
20 needs to be there.

21 And we could go around the table, but maybe that
22 would be the middle ground. I think clearly there's
23 stuff -- and then we can have the more substantive
24 discussion about, if I'm going the compare methylene
25 chloride with, you know, planar PCBs what makes it -- and

1 formaldehyde, what do I think should be in the top five,
2 which is a separate discussion.

3 CHAIRPERSON FROINES: Gary.

4 PANEL MEMBER FRIEDMAN: Yeah, I think that
5 getting back the Stan's point, the goal is to get five and
6 give the point about the time pressure, I would think, you
7 know, that if we can go around the table and see if there
8 are other chemicals that people think should be considered
9 for the top five and not so much worry, at this point,
10 about the top 11, that that would be more useful given the
11 time pressures.

12 And, you know, I can't contribute to that,
13 because I'm not a toxicologist. I don't really know
14 subject matter much about some of these chemicals, but
15 others like Paul probably could.

16 PANEL MEMBER GLANTZ: Yeah. I mean, I'd like to,
17 you know, we're sort of agreeing with each other, but I
18 think that's what the -- the think I said while you were
19 out answering the page, was that this top 11 is really
20 kind of artificial, I mean, in a way. And I think what we
21 ought to be doing is going through and identifying
22 anything that they didn't do to focus -- that aren't in
23 the 11 that you think ought to be Seriously considered.

24 And, again, like Gary I'm not a toxicologist, and
25 then make sure they get thoroughly considered. And it may

1 be there's -- you don't need all 35, there may be five
2 more or three. You mentioned, what, three. I mean what
3 are the other ones that people think ought to be seriously
4 considered for being in the top five?

5 PANEL MEMBER FUCALORO: That's pretty much what
6 we suggested. That's what Paul suggested. And --

7 PANEL MEMBER GLANTZ: Okay, well then let's just
8 hear what people have to say.

9 CHAIRPERSON FROINES: The problem is that Paul
10 went and did a literature search. And so starting from
11 zero he found some compounds. For us now the go through a
12 list is a little difficult because we don't have any
13 information that suggests there's something missing, so
14 we're in a sense --

15 PANEL MEMBER GLANTZ: Well, I think those are two
16 different problems. I mean one of them is reassuring
17 ourselves that the literature searches are reasonably
18 complete. And I think that Gary suggested a protocol that
19 OEHHA could use to double check what they've got. I think
20 that needs to be done.

21 But then the other question is from based on what
22 we know, from what's presented here and just where people
23 know, I mean, which of these compounds that aren't on the
24 list of 11 ought to be getting a fuller treatment, so that
25 we can then participate in a sensible discussion about

1 what the top five are?

2 PANEL MEMBER FRIEDMAN: Do you think Paul that of
3 the three that you mentioned any of them are candidates
4 for the top five?

5 PANEL MEMBER BLANC: Yes, I do.

6 CHAIRPERSON FROINES: I would argue manganese and
7 methylene chloride are --

8 PANEL MEMBER BLANC: Well, let's take a stab at
9 this then shall we. George, I mean do you think that's --
10 Melanie, do you think that would be --

11 DR. ALEXEEFF: We'd be happy to do that.

12 SUPERVISING TOXICOLOGIST MARTY: The other thing
13 that might help is that --

14 PANEL MEMBER FUCALORO: The alternative the do
15 36, so this is a half-way house.

16 DR. ALEXEEFF: I think it's important to focus on
17 the ultimate purpose of this, and, in part, by maybe
18 raising this group of 11, you know, in one sense it's what
19 Stan was indicating that we've added information that
20 wasn't necessary. At the same time, it did raise the
21 issue the your attention that possibly some of our
22 literature reviews weren't on point, in part, because this
23 was a difficult subject for us to do literature reviews.

24 But regardless of all that, we'd be happy to add
25 additional information or bring to the panel any

1 additional information, any of the chemicals that you feel
2 you need the look at before you can decide on which five
3 should be recommended.

4 CHAIRPERSON FROINES: Let's take up the
5 suggestion that basically Gary, Paul and Stan are making.
6 I just want to make -- ask one question, before we do it.
7 With arsenic and cadmium, under your reasons for lower
8 priority, you say lower ranking and less concern than lead
9 or mercury for neurotoxicity. That's a little
10 problematic, I think, because it's a comparative
11 statement. And I think we should be looking at the
12 evidence on an absolute basis. And that is, is there
13 evidence -- what the strength of the evidence with cadmium
14 for differential effects?

15 I don't know how to draw a conclusion from a
16 comparative statement like that. Does that mean to say
17 that I don't need the worry about cadmium for kids or what
18 does it mean?

19 SUPERVISING TOXICOLOGIST MARTY: No, that does
20 not mean that at all. It means that for the five, we have
21 loads of evidence in humans that lead and Mercury are a
22 problem for develop neurotoxicity. When you compare that
23 database to what you have for cadmium, you don't have near
24 the weight that you do for lead and Mercury in humans.

25 So when you're just considering that you're

1 trying to skinny this down to five, we wouldn't put
2 cadmium up there. We would put lead up there. And we
3 suggested that possibly even mercury should go up there.
4 And also if you look at the emissions from stationary
5 sources, there really is a difference. And, actually, I
6 have a table -- I don't think I gave it to anybody,
7 because I just put it together yesterday of the top 35,
8 and, you know, cadmium, and this is again -- you know,
9 there's holes in the data, because this is emissions
10 inventory from just those facilities reporting out of the
11 hotspots program. But for cadmium we have 3,600 pounds,
12 for lead you have 233,000 pounds and for mercury you have
13 about 10,000 pounds. Arsenic is about 11,000 pounds.

14 Now that doesn't represent your total exposure,
15 but it gives you an indication that lead is still being
16 emitted from stationary sources in considerable
17 quantities. So that would then tie into why you would be
18 more worried about lead, the human data, plus you know you
19 have leading poisoned kids out there. We already know
20 that. I don't know if we have arsenic poisoned kids and I
21 don't know if we have cadmium poisoned kids, but I sure
22 know we have lead poisoned kids and there's no reason to
23 put anymore lead out into the environment.

24 PANEL MEMBER BLANC: And the coplanar PCB
25 poisoned kids?

1 SUPERVISING TOXICOLOGIST MARTY: There are
2 actually human data on developmental neurotoxicity for
3 coplanar PCBs.

4 PANEL MEMBER BLANC: But see what I'm saying, the
5 implication here is well we can only put two metals on the
6 five, so therefore, you know --

7 SUPERVISING TOXICOLOGIST MARTY: Well, it's true.
8 I mean we had the balance -- are you going the put all
9 neurotoxins are or are you going to ignore all the
10 carcinogens, are you going To ignore all the other points.
11 And that just points to some of the difficulty in trying
12 the pick five.

13 PANEL MEMBER BLANC: Yeah, but it's part of the
14 difficulty of when you -- you set up for yourself a
15 hierarchical process, where first there were 35, which
16 sort of -- you were going to throw a broad net, 35 --
17 we're going the take in this group anybody for whom we
18 either think on toxicologic grounds could be a problem,
19 just, you know, based generically or there is a lot of
20 exposure, or the ratio of the exposure to the REL, et
21 cetera. You had a bunch of different criteria that ones
22 could have immediate it.

23 So you're going the throw a broad net,
24 appropriate. We've all been satisfied with that,
25 especially now that it's been explained. And you take the

1 35. These 35, they have made it to this threshold, we're
2 going the do literature reviews. We're going to have
3 these literature reviews. Okay, you have literature
4 reviews done.

5 Now, we've read the literature reviews. Some of
6 these, okay, we had concern going in, but now seeing the
7 literature review, it's so skimpy that we really don't
8 need to give it further consideration. Not, there's stuff
9 there, but boy compared to lead, it's not so bad. That
10 was going the next step.

11 So you're using as an argument for not going from
12 this group to the sort of core group from which you're
13 going to choose the five as the reason to not get -- that
14 it's really because it couldn't make it into the five,
15 that it's not getting into that group. Do you see --

16 SUPERVISING TOXICOLOGIST MARTY: Well, it
17 couldn't make it into the 11.

18 PANEL MEMBER BLANC: That's right, but the REL --
19 but what John was saying was, you know, the statement
20 lower ranking and less concern of lead or mercury for
21 neurotoxicity is not a rationale for not being in the
22 group of 11 or the group of 15. Saying there's no human
23 data, and we're requiring some human data at least the get
24 into that next step, or there's --

25 PANEL MEMBER GLANTZ: Okay, but wait. I think

1 what we should do to try the move on is we should -- I
2 mean I haven't heard -- I mean the 11 that they did those
3 are there. And I think the real question is the there
4 anything where there is enough evidence and concern, for
5 whatever reason, that they deserve more thorough
6 discussion about being in the five. And so I think we
7 should just -- I'd like the hear what the people who know
8 about toxicology think of anything in the list of 35 that
9 ought to be elevated up to the list of however many, that
10 then ought to be seriously discussed, compound by compound
11 and then we can talk about all these.

12 PANEL MEMBER BLANC: Well, I would say that in
13 follow up to John's comment then, if I had to think about
14 arsenic and cadmium, although I don't think the cadmium
15 data -- there may be some intriguing data, but I don't
16 think there's as much there. I do Think that for arsenic
17 it could be discussed in terms of the top five.

18 PANEL MEMBER FUCALORO: And the others you gave?

19 PANEL MEMBER BLANC: The others I gave for sure.

20 PANEL MEMBER FUCALORO: I'm counting four more.

21 DR. MARTY: I've got five.

22 PANEL MEMBER FUCALORO: Okay, five.

23 SUPERVISING TOXICOLOGIST MARTY: I've got
24 chlorine also.

25 PANEL MEMBER BLANC: And then chlorine I would

1 add to that because of issues of consistency. I would say
2 methyl bromide, just based on what I see in the table.

3 CHAIRPERSON FROINES: It's a problem.

4 PANEL MEMBER GLANTZ: Let's just let, any others?

5 PANEL MEMBER BLANC: I think that those are the
6 ones I would say. But can I also say a few for which I
7 would be particularly concerned about quality control,
8 just to make sure, because I'm taking on face value to a
9 certain extent. And I haven't gone the pull the articles.
10 So I don't have another reason to say it, but I'm just --
11 one, is methanol, you know, for all the reasons. I
12 think --

13 SUPERVISING TOXICOLOGIST MARTY: We were just
14 discussing that.

15 PANEL MEMBER BLANC: You need a very careful
16 literature search for methanol, because I could easily see
17 it being a candidate for one of the top five.

18 And I'm going to also take as fairly convincing
19 on face value, and John maybe you have some comments on
20 that, I think the study that, since it was specifically
21 studied, n-Hexane. And young animals were relatively
22 resistant to it. And then on top of that there seems to
23 be well done negative teratogenic studies. That would
24 seem to be fairly convincing negative data. And I'm
25 assuming that there aren't positive studies that you're

1 overly discounting for some reason.

2 And this was something I did look at briefly, and
3 I didn't find anything else on it, so I think the Hexane
4 doesn't need to be considered for the top five.

5 CHAIRPERSON FROINES: Yeah, I agree, it does not.

6 PANEL MEMBER BLANC: So, but, you know, it's
7 obviously something you want to double check.

8 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

9 SALMON: It was a compound which we gave very
10 consideration to.

11 PANEL MEMBER BLANC: Right. And then I want to
12 raise again is the use that I had raise earlier, which had
13 to do with oxidants, with things that could cause
14 methemoglobinemia, just make sure that we haven't missed
15 something there, either something that was in your 35 that
16 does cause -- for example, dichloro benzene, negative
17 study, "A woman who ate dichloro benzene throughout
18 pregnancy showed hemotoxic effects, but the infants showed
19 no toxic effects upon delivery."

20 And I don't remember if dichloro benzene induces
21 methemoglobinemia. But obviously if it did, then -- and
22 if you believe that there's ambient -- if it's an ambient
23 pollutant, because it could be an additive with other, you
24 know.

25 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

1 SALMON: Some of the aromatic amino compounds certainly
2 would produce that effect, but I don't think that we have
3 uncovered any which have sufficient exposure in terms of
4 hot spot emissions or ambient levels to draw our further
5 attention to.

6 PANEL MEMBER BLANC: Right. Again, can I just
7 say one other thing about it. I understand that the two
8 things that you're trying to get a list of five, and that
9 just because something is on the list of five doesn't mean
10 that it won't be looked at later, but I also realize that
11 if something doesn't make it into the sort of, smaller
12 group, that there are going to be regulatory ramifications
13 of that. I mean, in terms, of how far up -- yeah, it's
14 true if something theoretically didn't even make it into
15 your list of the 35 and then later on some, you know,
16 evidence could emerge.

17 But, in fact, given the facts and all of the
18 things that are looked at, you know, things are going to
19 fall. This prioritization is going to have impacts.

20 CHAIRPERSON FROINES: But I think there's an
21 important point here. I think that this is not just a
22 regulatory process. And we're tending to think about it
23 as a bureaucratic regulatory process. I think having a
24 list of five, but also having confidence in a subsequent
25 list of 10 to 15 tells the world that the State of

1 California thinks there is some evidence for say perhaps a
2 total of 15 to 20 chemicals, and that that is an important
3 message to go out beyond the narrow regulatory context.
4 And so this is a very important discussion, well beyond
5 the relatively narrow decision we have to make.

6 PANEL MEMBER FUCALORO: Clearly, the number five
7 is arbitrary when it comes from the Legislature. I mean,
8 the difference between five and six may be negligible.
9 And, in fact, it may run out to 12, 15 or something like
10 that. I mean, I think that's implicit, but maybe it ought
11 to be explicit. I think that's what you're getting at,
12 John. I would agree with that.

13 CHAIRPERSON FROINES: I think it shows to our
14 credit to have come up with a list of 15. That doesn't
15 necessarily have regulatory significance, but it certainly
16 has public health significance, and it tells researchers
17 out there to go study the problem and ARB to monitor and
18 so on and so forth. It has wider implications than simply
19 the designation of the five.

20 Peter, additional chemicals?

21 PANEL MEMBER WITSCHI: No.

22 CHAIRPERSON FROINES: I wanted to raise a couple
23 of questions. I agree with Paul that we shouldn't
24 consider hexane. I think we have two aldehydes already,
25 but I wanted to raise this and then I don't want -- let's

1 not get into a discussion for time purposes. The
2 emissions for acid aldehyde certainly are dwarfed by
3 formaldehyde, for example. And acrolein emissions are not
4 the relevant questions anyway.

5 But for the issue of acid aldehyde is an
6 interesting one, because of a point that you actually
7 raise, which is fetal alcohol syndrome. I mean acid
8 aldehyde is a metabolite a ethanol. And I got a request
9 yesterday to review an ethanol document for the New
10 England states on the use of ethanol in place of MTBE.
11 And so as we replace -- if we do replace MTBE with ethanol
12 and we then clearly have to worry about acid aldehyde, now
13 there are different studies that some show that there may
14 be importance and there may not be importance. It's not
15 really clear as of this point.

16 But I think that given the considerations about
17 the potential use of ethanol in California, acid aldehyde
18 is one that we should at least be able to say something
19 about what we think vis a vis fetal alcohol syndrome and
20 that which is presumably a neurologic dimension. So I
21 would say acid aldehyde is something that we need to
22 consider as being on some list.

23 The other three chemicals that I would add to it,
24 I would add not because I know the literature on
25 differential effects. I would suggest them precisely

1 because I don't know the literature, but perchloroethylene
2 has a total of 4,500,000 pounds per year. That's a lot.
3 You compare that to formaldehyde which is one and a half
4 million. So that PCE, as we all know, is extremely widely
5 used in California and there is an awful lot of people,
6 exposed to it.

7 And we did a study of levels we PCE in my
8 son's -- coming from son's bedroom, and they were quite
9 high. We were at the parts per million level, so that
10 there are kids who are exposed to dry-cleaning, and so
11 it's an issue.

12 Toluene we have five million pounds, and zylenes
13 we have three and a half million pounds. So simply on the
14 basis of the fact that you have a few million pounds of
15 those, we better make sure that we've looked at the
16 literature on those. And you may be fine. I'm not
17 suggesting you not. But I'm saying that given the
18 quantities we have here the fact that I think toluene and
19 zylenes are listed under Prop 65 as developmental toxins,
20 we just better be sure --

21 SUPERVISING TOXICOLOGIST MARTY: Toluene but not
22 zylenes.

23 CHAIRPERSON FROINES: -- that we've adequately
24 covered those areas.

25 PANEL MEMBER FUCALORO: What's the asterisk mean?

1 SUPERVISING TOXICOLOGIST MARTY: Those were
2 chemicals that we think are underreported. CS2 I don't
3 believe that number that it's only 1,500 pounds. And PCBV
4 and PC dioxins, I know for a fact that the refineries were
5 not -- there was one refinery out of seven in the bay area
6 that reported emissions of dioxins and I don't believe
7 that either.

8 I do want to make a comment on the aldehydes,
9 formaldehyde especially. The vast majority of
10 formaldehyde in ambient air is a secondary formation, so
11 this emission rate of a million and a half or so pounds
12 from stationary sources, that is really a drop in the
13 bucket probably compared to what's actually out there from
14 mobile sources in secondary formation.

15 CHAIRPERSON FROINES: Which is why acrolein is --
16 it's irrelevant this number here.

17 SUPERVISING TOXICOLOGIST MARTY: Right. And
18 Roger is not here, but I'm guessing that acid aldehyde
19 there is also secondary formation of that. Andy is
20 telling me that about 85 percent in the air is secondary
21 formation.

22 CHAIRPERSON FROINES: Right. And there are
23 studies that suggest if go to ethanol there won't be an
24 acid aldehyde problem, but it's not entirely clear yet.
25 And one of the interesting chemicals that isn't on the

1 list, which it will be worth looking at, I don't if you
2 did, was PAN.

3 SUPERVISING TOXICOLOGIST MARTY: It's not a TAC.

4 MR. SALMON: We'd love it to be one, but it's
5 not.

6 CHAIRPERSON FROINES: What?

7 DR. MARTY: We'd love it to be one, but it's not.

8 CHAIRPERSON FROINES: Well, we should consider
9 taking it up. That's quite important.

10 PANEL MEMBER FUCALORO: What is that?

11 CHAIRPERSON FROINES: Peroxyacetyl of --

12 DR. MARTY: Nitrate.

13 CHAIRPERSON FROINES: -- nitrate.

14 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

15 SALMON: The report which we did on the ethanol versus
16 MTBE comparison in addition to pointing out what we were
17 just saying about the important role of secondary sources
18 in generating aldehydes like formaldehyde, acid aldehyde
19 and acrolein also showed an important hazard index for
20 irritants of which PAN obviously figured very largely.
21 The only good thing one can say about the situation is
22 that levels have, in fact, declined dramatically over the
23 years as a result of improved engine technology, but it's
24 still a considerable amount of it. And it appears to be
25 an important contributor to respiratory irritants and eye

1 irritants.

2 CHAIRPERSON FROINES: Well, it's also -- if we
3 use ethanol, we'll have to worry about it again, but also
4 there's enough toxicologic data to make you worried about
5 it, but it's also defined by how little toxicologic data
6 as you know there is.

7 PANEL MEMBER BLANC: So, John, the ones that you
8 mentioned, for example, tetrachloroethylene, you were
9 using those examples where you just wanted a real double
10 check of the -- they weren't things you were elevating?

11 CHAIRPERSON FROINES: I wasn't suggesting they
12 get elevated, but I think that they are of sufficient
13 exposure that it's worth, given what you've found, that we
14 do a double check.

15 CHAIRPERSON FROINES: I don't agree about this
16 notion a carbon disulfide. I think it's an important Paul
17 has raised, but I'm not convinced there's very much of it
18 in the air.

19 PANEL MEMBER BLANC: I think that there's a lot
20 -- EPA data suggests there's an awful lot of it.

21 CHAIRPERSON FROINES: What's the source?

22 DR. MARTY: The reason I put an asterisk on that
23 is there was a source in the bay area that had reported
24 under EPA's reporting program, but for some reason did not
25 report under the California program, so we were going to

1 look into that, and it was 200,000 pounds per year was my
2 recollection from a single facility in the bay area.

3 Now, I can double check that and make sure that
4 that was a real number. We did contact the bay area
5 district about that.

6 CHAIRPERSON FROINES: We could give the panel a
7 test and ask them what chemical we've dealt with produces
8 carbon disulfide, but it is metam sodium. We can't take
9 it out.

10 PANEL MEMBER BLANC: It's proved because carbon
11 disulfide is not used as a pesticide.

12 CHAIRPERSON FROINES: I know.

13 (Laughter.)

14 PANEL MEMBER BLANC: Actually, it is used as a
15 pesticide, but is'a byproduct, but anyway.

16 SUPERVISING TOXICOLOGIST MARTY: So can I
17 clarify, John, that the chemicals you mentioned did you
18 want a summary like we had for the 11 for those or just
19 you wanted to double check?

20 CHAIRPERSON FROINES: No, on those I'm not
21 suggesting a summary necessarily, whoever said it. I was
22 just asking for a double check given the amounts that are
23 used, because trichloroethylene is a very important
24 chemical, and -- I mean, pardon me perchloroethylene, and
25 so we just need to make sure that we're comfortable with

1 the literature that we have. That's all I'm saying.

2 PANEL MEMBER BLANC: And, John, you had mentioned
3 I think at our last meeting some concern over butadiene.
4 That would also be something that you would just have a
5 double check of the literature but not beyond that.

6 CHAIRPERSON FROINES: I suspect that they've
7 given a lot of attention to butadiene at this point. And
8 I'd be surprised if they didn't have all the information.
9 I don't think butadiene is one to worry about, given its
10 toxicity carcinogenicity.

11 It's 12:15. Can we take a 45-minute break and
12 start at 1:00 o'clock and go directly to PAHs and then
13 diesel?

14 SUPERVISING TOXICOLOGIST MARTY: Yes.
15 (Thereupon a lunch recess was taken.)

16 CHAIRPERSON FROINES: I think we should begin.

17 SUPERVISING TOXICOLOGIST MARTY: Andy Salmon is
18 going to make the presentation on PAHs and why we included
19 them in Tier 1.

20 (Thereupon an overhead presentation was
21 presented as follows.)

22 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF
23 SALMON: Okay. Well, I'd like to start by summarizing the
24 situation.

25 Can you hear me all right now?

1 I'll start by summarizing a summary of
2 Benzo[a]pyrene and other polycyclic aromatic hydrocarbons.
3 We included the proposed Tier 1, because of the concern
4 over the toxicity of various types, and also about ambient
5 and indoor air levels and mobile and point source
6 emissions.

7 The effects which we were concerned about in this
8 specific context of differential impacts on infants and
9 children are both carcinogenicity and various types of
10 developmental toxicity. And also that we found evidence
11 that there is greater exposure to children than to adults
12 in the same environment.

13 --o0o--

14 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF
15 SALMON: I'll start by summarizing the toxicological
16 effects that we found. Obviously, there's an enormous
17 literature here which I won't even pretend to be covering
18 in any detail. I've selected a few key studies
19 illustrating the points I want to make. The
20 carcinogenicity, of course, is well known.

21 The regular kind of developmental toxicity, there
22 is evidence of fetotoxicity, growth retardation and the
23 induction of teratogenesis. There is quite a lot of
24 animal data on all those, but also specifically human
25 data, particularly on the growth retardation issue.

1 There are also some other developmental effects,
2 which, in some cases, would have shown off the
3 transplacental or paternal exposure even. In this case,
4 the obviously transplacental carcinogenesis is a known
5 phenomenon.

6 But also, I think the adult toxicity of PAHs, the
7 immunotoxicity, suppression of hematopoiesis and
8 reproductive toxicity, those are well known effects in
9 adults. They have counter parts in the developmental area
10 when exposure occurs in utero or presumably has
11 necessarily been well tested, postnatally at a young age.

12 The effects are often significantly different in
13 and significantly more severe and/or occurring at
14 significantly lower doses. I'll now go into the next
15 issue. This a very brief summary of what we know about
16 mechanism of action.

17 --o0o--

18 MR. SALMON: Polycyclic aromatic hydrocarbons are
19 metabolized by reactive intermediates. This is, of
20 course, well known as the mechanism underlying the
21 carcinogenic effect. But it appears that the same
22 mechanism is also involved in the developmental end
23 points.

24 In the case of adverse birth outcomes in humans
25 exposed to PAHs, it's been shown that PAH-DNA adducts

1 appear in the white blood cells in cord blood. And DNA
2 adducts have also been shown in the fetus.

3 This formation of adducts from the reactive
4 intermediates is mediated by various cytochrome P450
5 enzymes. There's been some considerable amount of work on
6 exactly how these so-called Phase 1 enzyme activities
7 varied at different developmental stages, both pre- and
8 postnatally.

9 And it's been generally argued that, in fact, the
10 Phase 1 activities may be lower at the younger ages, but
11 they're not zero. It does appear that, at least, if you
12 have a fetus or young animal which carries the responsive,
13 the AHG, that the enzyme activities are inducible. And
14 the other important issue is that it seems that the amount
15 of toxicity, the amount of adducts formed depends not
16 necessarily on the absolute amount of Phase 1 enzyme you
17 might happen to have around at the time, but also, most
18 importantly, on how the Phase II enzymes are developing.

19 It would appear that the balance between
20 deactivation and activation are very important in
21 determining the final impact. And there are some
22 indications that the fetus and/or the young animal are, in
23 fact, more sensitive to these effects than the adults, in
24 particular, the fetus is more sensitive to adduct
25 formation than the other under some circumstances.

1 --o0o--polycyclic aromatic hydrocarbons, it's been shown
2 that there is extensive exposure of children to polycyclic
3 aromatic hydrocarbons from various sources indoor air
4 being one of them where house dust and smoking by adults
5 in the family is important. And we have some evidence
6 that the child receives a higher dose in terms of the
7 impacts of those PAHs than the adults in the same
8 environment.

9 This obviously excludes the primary smoker, but
10 the impacts is greater on the child exposed to secondhand
11 smoke than a nonsmoking adult exposed to same level of
12 second-hand smoke.

13 Various other indications that this exposure
14 occurs, that it is specifically the PAH component of the
15 exposure, which seems to correlate with the various
16 adverse outcomes. It's also interesting to note that
17 polycyclic aromatic hydrocarbons are transferred in breast
18 milk, which is another source of special exposure for
19 infants.

20 --o0o--

21 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF
22 SALMON: I'm going to now turn to a few detailed
23 descriptions of studies in the hope of illustrating some
24 of these considerations. It's somewhat difficult to
25 provide a satisfactory comparison of the sensitivity of

1 adults and children to polycyclic aromatic hydrocarbons
2 carcinogenesis.

3 Basically, the studies either haven't been done
4 or perhaps even can't be done to do the kind of, for
5 instance, I think when we're discussing Vinyl chloride,
6 you'll see a bioassay, where they actually have detailed
7 differential exposure patterns at different ages and you
8 can you see different carcinogenic potency at various
9 points during the lifetime.

10 Those studies don't appear to be available to
11 polycyclic aromatic hydrocarbons, but what is in the
12 literature is a very general presumption that the younger
13 animals are more sensitive and particularly the neonatal
14 animals have been, in fact, used quite specifically as a
15 rapid and highly sensitive bioassay for demonstrating
16 carcinogenicity of polycyclic aromatic hydrocarbons.

17 The study which, I'm showing here, La Voie et al
18 is typical of many such studies. Basically, they were
19 surprised that the adult carcinogenicity studies which
20 have been performed with fluoranthenes had not, in fact,
21 identified fluoranthene itself as carcinogenic in spite of
22 the fact that the genetic toxicology metabolic indications
23 seem to imply that it would be.

24 The protocol used was newborn mice given three
25 intraperitoneal injections of the hydrocarbon groups

1 included obviously dosed groups, control and the positive
2 control Benzo[a]pyrene itself, and therefore long tumors
3 were observed at one year of age.

4 --o0o--

5 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

6 SALMON: The results show clearly that although the as
7 perhaps is expected, the mouse, the neonatal mouse
8 responds to the methyl fluoranthenes, which is consistent
9 with the finding with the adult mouse, skin promotion,
10 bioassay, which the initiation components of the standard
11 mouse skin bioassay, which is probably the most sensitive
12 assay, at least one of the most quietly used for the adult
13 system, but we also see the neonatal mouse responding to
14 fluoranthene quite strongly.

15 I mean, in terms of trying to interpret what this
16 means, one is attempted to suspect that this represents a
17 sensitivity rather than an absolute statement that the
18 fluoranthene is not carcinogenic in the adult, but that it
19 is in the --

20 CHAIRPERSON FROINES: Was the method of
21 administration for the adults the same as the method --

22 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

23 SALMON: No, it was not. These are basically -- that
24 comparison has not been done, and it does appear, I mean,
25 this is a generic problem that people have not done the

1 sort of, you know, standard administration across
2 different life stages. This is comparing what is
3 considered to be the most sensitive adult bioassay for
4 hazard identification for PAHs.

5 CHAIRPERSON FROINES: The newborn what was the
6 method of administration?

7 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

8 SALMON: It's the intraperitoneal injection. And I think
9 it's fairly common to find that the adult rodent will
10 respond to intraperitoneal injections of PAHs, but you
11 would almost certainly not see the kind of sensitivity
12 that you see with the neonatal mouse system or the
13 neonatal rat. The other paper, which I cited in my
14 introductory summary table is typical.

15 It was a study of Nitro-PAHs by my colleagues.
16 And they specific say right at the beginning of the paper,
17 we chose to use the neonatal mouse carcinogenicity assay
18 on the expectation that it would be more sensitive and
19 have a wider range of responding tumor sites than seen in
20 the adults. And one keeps seeing statements like that in
21 the literature.

22 CHAIRPERSON FROINES: Well, I think, again, a
23 statement is not a scientific fact. It's a statement
24 somebody made.

25 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

1 SALMON: This is why --

2 CHAIRPERSON FROINES: So really one has to be
3 somewhat careful in considering these results since the
4 newborn mouse data isn't coupled with an adult mouse
5 assay. So what the results of the skin bioassay may be
6 relevant, but they are not directly comparable.

7 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

8 SALMON: This obviously requires careful interpretation,
9 but unfortunately the State of the data is such that this
10 is the best I can offer you on the spot.

11 --o0o--

12 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

13 SALMON: Fortunately, the situations on the developmental
14 toxicity is a little bit more straightforward, in so far
15 as developmental toxicity every is straightforward.
16 Benzo[a]pyrene causes a range of developmental effects,
17 including fetal death and resorption. And also
18 malformations and stillborn and those fetuses which are
19 carried to term.

20 And in this particular case, it's interesting to
21 note that where the fetus is carrying the gene for
22 responsiveness to induction of the cytochrome P450 by
23 polycyclic aromatic hydrocarbons. The impact is greater.
24 This is numerical results.

25 --o0o--

1 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

2 SALMON: I'd like to, if you don't mind, present this in
3 graphical form. It's a little bit easier to see what's
4 going on here, and draw your attention to the front row of
5 columns here for the percentage carrying all effects. The
6 B6 control versus the B6 treated there's obviously a large
7 and statistically significant increase in the number of
8 impacted fetuses in that group.

9 And similarly, although the AK mouse shows a
10 lower overall rate of effects, there is an increase in
11 that strain also. The proportional increase in effects is
12 greater in the B6 mouse, which is the one which is
13 responsive to the P450 induction. You see the same effect
14 with the resorptions.

15 Malformations, in fact, in this particular
16 experiment, the AK mouse, didn't show Malformations, but
17 the B6 mouse did. The other thing which is notable is
18 that the treated mice in both strains show a substantial
19 impact on the number of successful implants, and the
20 number of successful pregnancies relative to their
21 controls.

22 PANEL MEMBER BLANC: Now, going back to our
23 earlier discussion, however, the only, in fact, adverse
24 impact that would be relevant would be the malformations,
25 since the fetuses that don't survive to be born would not

1 be and effect that would be relevant to what we're
2 looking; is that correct?

3 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

4 SALMON: Well, there are actually a suite of different
5 responses. The ones which were assayed in this particular
6 experiment and not all the responses which PAHs have been
7 shown to produce, but in terms of this particular group of
8 effects, yes, it's the Malformations which are the most
9 critical finding, because those are the ones which would
10 provide a continuing impact on health of surviving
11 infants.

12 PANEL MEMBER BLANC: But the document doesn't
13 necessarily reflect that in its discussion. It doesn't
14 say -- and, of course, although there are these other
15 effects, what we're really focusing here on the
16 malformations?

17 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

18 SALMON: I think the point that we would be trying to make
19 and I'll bring this up, perhaps, if I may, by continuing
20 some of the other discussions is that what you have
21 actually is a continuum of effects, some of which result
22 in -- some of the end points are things which obviously
23 are not strictly relevant to the differential effect on
24 children's health, but nonetheless, part of the overall
25 toxicological response. And so where the --

1 PANEL MEMBER BLANC: Yeah, but you have to be
2 careful, not to interrupt you, but I am interrupting, but
3 you know you don't want to make an extrapolation of an
4 extrapolation of an extrapolation.

5 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

6 SALMON: Yeah. All I'm hoping to do is to demonstrate
7 there's a consistent experimental picture here.

8 CHAIRPERSON FROINES: But I think that you can't
9 as much as you might like to argue that there's a
10 continuum, there still needs to be some evidence to
11 demonstrate that the continuum exists.

12 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

13 SALMON: Yes.

14 SUPERVISING TOXICOLOGIST MARTY: The evidence is
15 in the next two slides.

16 CHAIRPERSON FROINES: But the basic policy
17 statement is that embryo lethality is not a criteria for
18 defining differential effects.

19 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

20 SALMON: No, but I think that the biological suggestion is
21 that embryo lethality and anatomical terata often shown
22 linked does response and they appear to do so in this
23 case.

24 --o0o--

25 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

1 SALMON: My next slide, I have to apologize to you, this
2 study actually wasn't in the toxicity review, which you
3 received in the original packet, because it came out in
4 December of 2000 and actually didn't make it into our
5 initial review cut.

6 But we subsequently identified it and I wanted to
7 include it in this presentation, because I think it
8 clarifies and perhaps make a rather clearer case for what
9 we think might be going on in this particular series of
10 findings.

11 --o0o--

12 CHAIRPERSON FROINES: The public hasn't had a
13 chance to comment on this?

14 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

15 SALMON: No, the public has -- let's see -- no, the public
16 has not seen -- well, I'd assume the public has read
17 Environmental Health Perspectives, but other than that,
18 no.

19 PANEL MEMBER BLANC: The intent is that this will
20 be in the next revision of your --

21 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

22 SALMON: The intent is that this will go into the next
23 revision, yes.

24 It also builds on several previous studies, which
25 were referenced in the summary, which has been put out for

1 public review. This was a study of birth outcomes in two
2 districts of Bohemia where air pollution is a known
3 problem. And the difference in the two districts
4 basically consists of a difference in the balance between
5 the specifically polycyclic aromatic hydrocarbon pollution
6 and the general pollution as measured by particulate
7 matter, in this case PM 10.

8 And both districts showed substantial pollution
9 problems. And associated with that higher level of
10 pollution is an increase in the adjusted odds ratio for
11 intrauterine growth retardation, which is a specific end
12 point, which is being affected by the pollution.

13 PANEL MEMBER GLANTZ: What the control group?

14 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF
15 SALMON: The way this study was designed, they had the
16 areas divided into areas where the pollution was measured
17 to be low, medium or high. And they also used a temporal
18 approach, whereby they would measure the pollution at
19 different times over a period of several years. In fact,
20 they were looking at all the registered births in these
21 areas, so it's quite a large and complex study. So they
22 were using both geographical and temporal differences to
23 separate out the impacts of higher versus lower air
24 pollution levels.

25 --o0o--

1 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

2 SALMON: To put this as simply as I can, what you see is
3 that where the pollution level is low or lower, the ratio
4 for the intrauterine growth retardation is consistently
5 related to the level of PAH exposure, but if you look
6 across the two areas, in fact, the relationship with PM 10
7 is inverted between the two areas.

8 The suggestion being that this constitutes
9 evidence that the response is specifically associated with
10 exposure to the PAH component of the pollution as opposed
11 to the PM 10 in this case.

12 CHAIRPERSON FROINES: What are the PAHs that were
13 measured?

14 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

15 SALMON: The PAHs here were the, I think, it's 9. US EPA
16 identified PAHs which are commonly used. They're the ones
17 which were listed, I think, also in the beginning of the
18 report as being commonly measure carcinogenic PAHs.

19 CHAIRPERSON FROINES: All particulate based?

20 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

21 SALMON: These would have been the particulate based ones.
22 I don't think there were any measurements of the
23 specifically volatile ones like naphthalene. Although, I
24 will mention in passing that, you know, we've got
25 naphthalene on the TAC list separately, but for the sort

1 of discussions that we're having here, it would probably
2 be advisable to consider it along with the particulate
3 bound PAHs.

4 PANEL MEMBER BLANC: Actually, can we digress for
5 a moment on the naphthalene front.

6 So naphthalene in your Table XX -- well, actually
7 in Table 2 is listed as something which has reason to have
8 a more thorough review, but then doesn't appear on Table
9 XX because it's subsumed in --

10 SUPERVISING TOXICOLOGIST MARTY: In PAHs.

11 PANEL MEMBER BLANC: -- Supposedly subsumed in
12 PAHs, but it's the only separately listed TAC from within
13 that category, is that the only separately listed TAC for
14 which that would apply, because it is on your list of, you
15 know, pounds of exposure. Is it here? No, it's not
16 actually. PAH is here.

17 But in the section, I guess, it seems to jump out
18 as being something with a fairly --

19 SUPERVISING TOXICOLOGIST MARTY: Yeah, there is
20 some history to that.

21 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF
22 SALMON: It's complicated, because, in effect, you have
23 overlapping and somewhat redundant classifications in that
24 we have naphthalene, if you like a free-standing agent,
25 but it's also clearly included within the definition of

1 the federal hat, which is the basis of the TAC listing.

2 PANEL MEMBER BLANC: But you have 360,000 pounds
3 per year emitted. Although it does not appear --

4 CHAIRPERSON FROINES: Where are you looking?

5 PANEL MEMBER BLANC: Well, I'm looking on page
6 eight of the PAH summary, so it absolutely dwarfs all of
7 the other --

8 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

9 SALMON: Yes, it's a very large emission.

10 PANEL MEMBER BLANC: But it doesn't appear on
11 your stationary source. Is that because it's all mobile
12 source emissions?

13 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

14 SALMON: The vast majority is mobile, I believe.

15 SUPERVISING TOXICOLOGIST MARTY: It's a product
16 of incomplete combustion, and it represents about half the
17 PAH's, plus or minus of combustion sources. It may be --

18 CHAIRPERSON FROINES: I don't agree. I don't
19 think it's half. I think it's much more.

20 SUPERVISING TOXICOLOGIST MARTY: Well, suffice it
21 to say, the huge fraction -- so I think the reason that
22 it's listed separately is because historically having to
23 did with you -- they listed the chemicals that needed to
24 be quantified under the air toxics hotspots regulations
25 and that may be why it's listed separately.

1 PANEL MEMBER BLANC: But you have PAHs total --
2 so the answer is that it's -- most of these 360,000 pounds
3 is from mobile sources, so it wouldn't appear in the
4 hotspot?

5 SUPERVISING TOXICOLOGIST MARTY: Yes. And the
6 other answer could be that it's not tallied into that, to
7 that table that you're holding in your hand.

8 PANEL MEMBER BLANC: Okay, but on the other hand,
9 it's the only individual substance for which you have it
10 listed, and then falling out and then appearing within
11 another group, as you note in a parenthetical comment, in
12 Table 2 it says, "Treated as --

13 SUPERVISING TOXICOLOGIST MARTY: -- PAHs right.
14 I actually think that it's hard to say. There's a lot of
15 separate PAHs that are listed separately under the
16 hotspots. And in going back to the original table that we
17 started with, the prioritization table, for some reason
18 it's pulled out and there's a notation that it's because
19 it's under the federal half step initiative in its
20 separate category than PAH, but it is a PAH.

21 So I don't -- you know, we knew when we saw that
22 that we were going to just consider it, especially since
23 the carcinogenicity data just became available showing it
24 to be a carcinogen.

25 PANEL MEMBER BLANC: Well --

1 SUPERVISING TOXICOLOGIST MARTY: You know in
2 terms of exposure, the exposure piece. If PAHs gets on
3 the list, ARB has to do the footwork on figuring out what
4 the exposure profiles are.

5 PANEL MEMBER BLANC: But do you think naphthalene
6 is important enough individually to warrant some
7 emphasized comment within your section or do you think
8 it's going to be obvious to anybody who -- I'm talking not
9 about five pages. I'm talking about does it deserve a
10 paragraph where in you say something about it?

11 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF
12 SALMON: I think we'd be prepared to take your direction
13 on whether you thought some of the appropriate --

14 CHAIRPERSON FROINES: I think naphthalene should
15 become one of the compounds that receives a careful
16 analysis. I'm not even equivocal about this. I think --

17 PANEL MEMBER BLANC: Well, they're saying it
18 already has, because it's been --

19 CHAIRPERSON FROINES: I understand that, but I
20 don't accept it. I think that, in fact, there are lots of
21 reasons why naphthalene needs to be considered on its own.
22 I'll give you a couple of examples. One, when we did
23 diesel, we ended up with diesel particulate. We didn't
24 end up -- and so that when diesel was identified as a TAC
25 the vapor phase compounds were not included.

1 So that with respect to diesel, obviously
2 naphthalene is missing from that control strategy. When
3 you look at the concentrations of naphthalene, at least
4 where I live in southern California, You probably have
5 10,000 times more naphthalene in the air than you have
6 Benzo[a]pyrene, which everybody goes out and studies about
7 its carcinogenicity.

8 But if we have literally 10,000 times more
9 naphthalene, it deserves considerable attention, because
10 most people are breathing very large quantities of it.

11 And third, there is some very nice work at UC
12 Davis looking at effects in the lung respiratory effects
13 in the lung from naphthalene. And particularly in those
14 regions of the lung, where there is active P450
15 metabolism, which suggests that the formation of 1-2 and
16 1-4 naphthoquinone are probably important pathways for its
17 bioactivation.

18 And so that, I think naphthalene in and of itself
19 is such an important compound that has been very much
20 overlooked over the last few decades because of the
21 general orientation for the larger ring PAHs that we've
22 neglected. David Diaz-Sanchez's has worked, for example,
23 on finanthere as another example of a compound that's a
24 smaller ring compound that has effects.

25 So we tend to think this notion that everything

1 will get taken care of because we list PAHs isn't true.
2 There is no control strategy with ARB for PAHs. And
3 there's certainly not under the diesel rule. So that
4 naphthalene, I think, is one that we're really missing,
5 especially given the respiratory effects that David's
6 people have identified.

7 SUPERVISING TOXICOLOGIST MARTY: Well, we can add
8 something --

9 PANEL MEMBER WITSCHI: But there is quite a lot
10 of information about it and the respiratory effects in
11 neonates and young animals and they are more sensitive to
12 naphthalene.

13 SUPERVISING TOXICOLOGIST MARTY: We can add a
14 section on naphthalene, under the PAH, but I don't think
15 it's necessary to list it separately.

16 CHAIRPERSON FROINES: Why can't it be listed
17 separately?

18 SUPERVISING TOXICOLOGIST MARTY: Well, then
19 you're taking up another slot, when you can consider it as
20 a PAH, which is a general category of TACs.

21 PANEL MEMBER BLANC: But isn't it possible also
22 that were you to focus on naphthalene -- I'm just asking
23 the question. It's not a rhetorical question. If you
24 were to focus on naphthalene, since almost any release or
25 control strategy you could think of that would control

1 naphthalene would probably control polycyclic aromatic
2 hydrocarbons as a group, is that true?

3 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

4 SALMON: No. I don't that we're in a position to answer
5 that. You'd have to go ask --

6 SUPERVISING TOXICOLOGIST MARTY: There are also
7 significant naphthalene emissions from the air toxics
8 emissions database for stationary sources, so they were
9 not tallied into the number that I just pulled off this
10 table yesterday. So there is 152,000 pounds per year from
11 of naphthalene from stationary sources.

12 CHAIRPERSON FROINES: I don't accept the argument
13 that if something takes up a slot, therefore we shouldn't
14 do it.

15 SUPERVISING TOXICOLOGIST MARTY: No, no, that's
16 not at all what I'm saying. What I'm saying is we can
17 list it as one of the PAHs. We list PAHs. We can say
18 including, within a whole, but not limited to, and list
19 the ones that jump out at us including naphthalene.

20 CHAIRPERSON FROINES: Well, I think, for example,
21 Paul raised is the use this morning of manganese from the
22 standpoint of its toxicity, but also because of its
23 potential public health implications. And I think
24 naphthalene falls into that same kind of category that
25 this may be a compound that we should focus on in order

1 for us to then take seriously whether something might need
2 to be done about it.

3 SUPERVISING TOXICOLOGIST MARTY: We can do that.

4 CHAIRPERSON FROINES: Especially, if Peter is
5 right, and I suspect that he is, that there is evidence of
6 differential toxicity, and if it's strong, then in some
7 ways, one could argue that you would rather, if you
8 could -- if there is strong evidence, then something like
9 that that you really focus should become the focus of
10 attention, rather than just lumping it with every PAH
11 known to human kind, because within the context of PAHs,
12 we know there's big differences between pyrene and
13 Benzo[a]pyrene and so on and so forth, so that the problem
14 with the lumping is that we then lose the benefits of the
15 splitting approach.

16 SUPERVISING TOXICOLOGIST MARTY: Well, if we
17 provide the toxic data to ARB, you know, it gives them the
18 information they need to do something about naphthalene.
19 They're already concerned about it, and that's why they've
20 asked us to look at PAHs again, under the TAC to add more
21 potency factors, for example, to the list that we already
22 have.

23 PANEL MEMBER BLANC: Well, let's take Table 2 on
24 page five of this thing where naphthalene doesn't --
25 there's no potency factor for --

1 SUPERVISING TOXICOLOGIST MARTY: There is not a
2 unit risk factor for naphthalene, because it used to be
3 considered not a carcinogen until very recently. So that
4 work has yet to be completed. But the ARB has asked us to
5 come up with potency factors for additional PAHs and, of
6 course, naphthalene is one of them.

7 PANEL MEMBER BLANC: So there is a paragraph here
8 that will say that, let's say.

9 SUPERVISING TOXICOLOGIST MARTY: We can put that
10 in there.

11 PANEL MEMBER FUCALORO: But from what you know
12 where does it fall? Where does it fall in here? I mean,
13 it is suggested that potency equivalency factors from one
14 one-hundredth to twenty or so? I mean, my guess is it
15 would be pretty small, because it's not been identified.
16 It's certainly common. It's much more common than the
17 rest of these.

18 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF
19 SALMON: I don't think we ought to come up with numerical
20 pronouncements until we've done the work, but we are
21 certainly of the opinion that it is carcinogenic as a
22 result of the recent bioassay, which was published, but we
23 are still at the stage where we're having to do --

24 PANEL MEMBER FUCALORO: But you see my -- just a
25 point I'm trying to make, is that naphthalene is just a

1 common chemical compound, compared to all these others,
2 that surely it's been studied and there must be some limit
3 however.

4 SUPERVISING TOXICOLOGIST MARTY: We haven't done
5 that calculation from the data that are recently
6 available. But OEHHA is working on a potency factor; is
7 that correct? Our Cancer Hazard Assessments Section is
8 currently working on that.

9 The other issue, I think, to respond to your
10 question is since the concentrations are higher and quite
11 a bit higher than most of the other PAHs, that even if it
12 was 10, or a hundred fold lower than Benzo[a]pyrene in
13 potency --

14 PANEL MEMBER FUCALORO: I'm not using this as an
15 argument to eliminate it. I'm just trying to get a feel
16 for it.

17 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF
18 SALMON: I think it's reasonable to suppose that it might
19 not be as potent as Benzo[a]pyrene. And we might, you
20 know, as you say have know about it already, but beyond
21 that that I think it would be improper to speculate.

22 SUPERVISING TOXICOLOGIST MARTY: But it doesn't
23 mean it's not important.

24 CHAIRPERSON FROINES: Well, without being too
25 critical, let's face it the NTP bioassay wasn't done

1 yesterday. We've had those results for about a year now.
2 One can run it through a multi-stage model with the NTP
3 bioassay and have a result in a couple days.

4 My concern about this notion of not having gotten
5 to naphthalene, I think, is because of this notion that it
6 becomes a PAH and doesn't get the kind of attention that
7 it deserves. And I think that it's -- when the NTP
8 bioassay results came out given what we know about how
9 much is in the air, I would have made it a major priority
10 to go to a risk assessment and see where we are.

11 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

12 SALMON: It is a major -- my team are, in fact, working
13 with the cancer hazard assessments section on this at the
14 moment. And one of the things we've been looking at is
15 the pharmacokinetics issues relating to that as to how one
16 should best analyze the bioassay.

17 So the answer is, yes, it is something we've been
18 asked to do. It's something which we are currently
19 working on, and which we hope to be able to present the
20 results of our efforts in due course. But this process
21 amongst others, of course, is also, a separate one.

22 --o0o--

23 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

24 SALMON: Okay, one other interesting piece of information,
25 which we were able to extract from the data by looking at

1 the time series aspects of the data was the fact that the
2 impacts of PAH pollution appear to be primarily in the
3 first month of gestation. And this is consistent with
4 some other reports and scientific literature that in fact
5 this intrauterine growth retardation end point is a
6 specific developmental event, probably impacting the
7 placenta in fairly early stages of the pregnancy.

8 And so this particular end point is separate from
9 some of the other things which might be classified as
10 general sort of failure to thrive or interference with
11 other specific developmental events.

12 PANEL MEMBER BLANC: So intrauterine growth
13 retardation as used here does not imply lower birth
14 weight. It simply implies --

15 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

16 SALMON: No, it implies both lower birth weight and --

17 PANEL MEMBER BLANC: We didn't study lower birth
18 weight. They studied --

19 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

20 SALMON: They studied birth weight and -- well birth
21 weight was the primary index which they used.

22 PANEL MEMBER BLANC: Okay, so it was birth
23 weight.

24 --o0o--

25 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

1 SALMON: Yes. There's another study which is related to
2 this, which is, in fact, this is Perera et al. 1998, which
3 is looking at the similar findings.

4 CHAIRPERSON FROINES: Excuse me. I thought I'd
5 made it clear to Melanie in a number of E-mails that I
6 don't think one can use a review article as a primary
7 science. And you have quoted the Perera article at least
8 20 times in your slides so far. That's a review article.
9 And unless you have the primary data, you should present
10 the primary data not as a review article.

11 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

12 SALMON: Can I draw your attention to the difference
13 between Perera 1998, which is a review article, and Perera
14 et al. 1998 which is a presentation of a specific series
15 of primary findings.

16 PANEL MEMBER BLANC: Probably, if you did 98(a)
17 and 98(b), it would help clarify that, because it is a
18 subtlety that is easy to overlook.

19 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

20 SALMON: I will mend the text accordingly. But the point
21 I wanted to make from this slide is that the outcomes
22 actually reflected firstly in a reduction the birth
23 weight. This similar study was in Poland rather than
24 Czechoslovakia but in other respects they're fairly
25 similar.

1 The other findings, which they measured here,
2 were more for metric differences in birth, length and head
3 circumference. And these are seen as differential impacts
4 rather than just reduction in overall size, as you might
5 say.

6 The other thing which Perera et al. 1998
7 indicated was that there was an association between these
8 outcomes and high levels of PAH adducts detected in the
9 leukocytes So this was tying this particular type of end
10 point into specifically PAH exposure again.

11 --o0o--

12 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF
13 SALMON: The further study, again, with somewhat similar
14 findings were leukocytes, PAH-DNA adducts in newborns were
15 correlated with exposure to outdoor and indoor air
16 pollution. And the finding here is that although one
17 might perhaps consider that the fetus should be protected
18 from these effects by the maternal system and the placenta
19 and this has certainly been argued on a number of
20 occasions by people reviewing the literature, it appears,
21 in fact, that the levels in the fetus are typically
22 comparable or at least the levels in the newborn I should
23 say, are typically comparable.

24 --o0o--

25 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

1 SALMON: And in the particular case here in Whyatt et al.
2 study in the medium group, it was actually higher in the
3 newborns than in the mothers. And the index of exposure
4 here obviously is somewhat indirect in that it is PM 10
5 rather than PAHs. But nonetheless, it was believed for
6 this particular study that that was a reasonable index of
7 exposure.

8 And the other interesting thing which they note
9 was, again, that you saw a difference depending on the
10 fetal metabolic capability. They compared the levels with
11 the presence of particular polymorphisms and cytochrome
12 P454(a)1 gene.

13 --o0o--

14 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

15 SALMON: The next topic I wanted to draw your attention to
16 is the study here.

17 CHAIRPERSON FROINES: How big is the population
18 that was on the previous study?

19 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

20 SALMON: The Whyatt et al. was --

21 SUPERVISING TOXICOLOGIST MARTY: Seventy mother
22 and newborn pairs.

23 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

24 SALMON: So it was quite a bit smaller than the Dajmek
25 study, but nonetheless it was a significant size.

1 CHAIRPERSON FROINES: Those ends there are
2 numbers of newborns?

3 PANEL MEMBER BLANC: There's only 19. Are you
4 sure it wasn't 19? There seems to be only data there for
5 20.

6 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF
7 SALMON: I think this particular graph might be a subset
8 of all the data they looked at.

9 SUPERVISING TOXICOLOGIST MARTY: It is.

10 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

11 SALMON: There were several classes depending on what
12 other exposures were involved, coal stoves, smoking, and
13 things of that sort.

14 For the exposure of children as opposed to
15 fetuses to polycyclic aromatic hydrocarbons, this study
16 actually looked total exposure from all sources and found
17 that the total exposure and also inhalation exposure was
18 somewhat higher in children. But one of the most
19 important factors was what they described as nondietary
20 ingestion, which obviously reflects significant amounts of
21 hand to mouth transfer of house dust contaminating the
22 PAHs and things of that sort.

23 --o0o--

24 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

25 SALMON: These are the actual data.

1 --o0o--

2 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

3 SALMON: I just have this in form of the table. So in
4 particular, the children have high nondietary ingestion,
5 but they also have a substantial increase in inhalation
6 exposure. And regardless of the perhaps hard-to-quantify
7 contribution of airborne PAH pollution to the dietary
8 PAHs, it's clear that the inhalation and nondietary
9 ingestion, both of which have a fairly direct relationship
10 to airborne PAHs, but air emissions of PAHs would have a
11 significant input from to these children's differential
12 exposure to PAH's.

13 --o0o--

14 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

15 SALMON: A final note. We mentioned environmental tobacco
16 smoke on a number of occasions. This particular slide
17 Tang et al. shows increase of the number of biomarkers for
18 exposure to ETS components. And these were looking at
19 African-American and Hispanic children.

20 And if you look at the levels comparing the no
21 ETS versus ETS exposed children, there's a distinct
22 increase in cotinine. There's approximately twice as much
23 as the PAH albumin adduct. There's also a modest increase
24 in the systichromatic exchange, an increase in the
25 4-aminobiphenyl/hemoglobin adduct. So this is

1 demonstrating that that particular exposure is a source of
2 differential impacts on -- well, it's a source of exposure
3 of children to PAHs, at least, that's the point of this
4 slide.

5 PANEL MEMBER BLANC: Right. And can you tell me
6 why this is relevant? I mean, you wouldn't have a
7 hypothesis that children who were exposed to PAHs wouldn't
8 absorb them, would you? I mean --

9 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF
10 SALMON: I wouldn't.

11 PANEL MEMBER BLANC: No, but I mean, part -- you
12 know, again, it comes into -- this is a generic issue as
13 you go through some of these documents, but in terms of --
14 yes, if I was going to have a review of exposure to
15 children of PAH's, you know, this would appear in such a
16 review. But if I was having a review you about
17 preferential impact of PAHs on children compared to
18 adults, this wouldn't be a relevant study, right, because
19 this is not a study comparing the children to the adults
20 in the same household with the same exposure showing that
21 the children have a higher number of adducts or something.

22 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF
23 SALMON: I think that the value of this is perhaps linked
24 with the previous study, which was an exposure measurement
25 showing that not only is there an increase in the exposure

1 term, but there is also an association between exposure
2 and adducts, therefore -- so you can say A to B and B to C
3 therefore C to E.

4 PANEL MEMBER BLANC: Well, except it's not. It's
5 A to B and then Q to W or something. And because you've
6 got a subject of PAHs where there's obviously a very, very
7 large literature looking at a lot of different aspects, it
8 tends to obfuscate more than clarify, I think, because
9 what you really care about is what are the pertinent
10 studies which show a preferential impact one way or the
11 other in children. And listening -- I think this is
12 fairly close to the last slide, isn't it?

13 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF
14 SALMON: Yes.

15 PANEL MEMBER BLANC: Or is it the last slide?
16 --o0o--

17 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF
18 SALMON: This is actually the last one.

19 PANEL MEMBER BLANC: So if I had to summarize all
20 of the data that you've shown us for Benzo[a]pyrenes as a
21 group, there is one, vis a vis carcinogenicity
22 preferentially, there is no direct evidence whatsoever?
23 There is one indirect sub-example of one of the
24 Benzo[a]pyrenes for which there is not a carcinogen in
25 adult rats, but it is a carcinogen in neonatal mice.

1 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

2 SALMON: I hoped I had explained that that was a selection
3 of the --

4 PANEL MEMBER BLANC: Well, if that's the best
5 example you could -- and there may be other examples where
6 there also is not a head-on exposure. So there's sort of
7 the very indirect suggestion of the possibility of
8 preferential carcinogenicity of some Benzo[a]pyrenes
9 perhaps and then in terms of an adverse reproductive
10 outcome, you have some epidemiologic studies of air
11 pollution showing adverse birth outcomes in eastern
12 Europe, where one realizes that the Benzo[a]pyrenes are
13 probably linked to a lot of other concomitant exposures.

14 In terms of supportive data in an animal study,
15 you did show one study with Benzo[a]pyrene, I believe,
16 where there was an increase in malformations although the
17 more dramatic effects were increases in -- decreased
18 stillbirths. And the implication that there might be some
19 other similar teratogenic studies.

20 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

21 SALMON: There are others, yes, other agents and mixtures.

22 PANEL MEMBER BLANC: Is that a safe summary of
23 the data?

24 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

25 SALMON: Well, the final one I wanted to show you was an

1 example of the developmental effects on fertility, which I
2 mentioned right at the beginning.

3 This was prenatal exposure to Benzo[a]pyrene.
4 And in both males and females, there's a fairly clear
5 dose-related decrease in fertility as a result of exposure
6 so -- this is fertility of the offspring following
7 prenatal exposure against to Benzo[a]pyrene.

8 So this, if you like, is an illustration of how,
9 an effect, which is, perhaps, maybe possible to see in
10 adults at some level, but is more dramatic and is also
11 permanent when the exposure occurs in utero. And this --

12 PANEL MEMBER WITSCHI: The come back. The
13 parent of ETS, there quite a few good studies, which show
14 the ETS gives an increased risk of cancer in adults, but
15 to the best of my knowledge, for children the evidence
16 isn't there that strong, if at all.

17 So wouldn't this imply the opposite, that
18 children are more resistant to the carcinogenic action?

19 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF
20 SALMON: I think it implies that people haven't looked
21 with the same power of study typically.

22 PANEL MEMBER WITSCHI: I'm NOT so sure about that
23 one. The children and ETS has been looked at a long time
24 in several studies. And, you know, I agree with you, the
25 ETS adducts, that's the measure of exposure, but By this

1 talk, and then you could say the this case the kids more
2 resistant than the adults are.

3 PANEL MEMBER BLANC: So is this study also one of
4 a group studies that have -- or is this an isolated --

5 PANEL MEMBER GLANTZ: So this isn't a people move
6 is it?

7 PANEL MEMBER BLANC: No, it's an animal study.

8 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

9 SALMON: I'm sorry.

10 PANEL MEMBER BLANC: Was the fetal exposure
11 having an adverse reproductive outcome -- or fertility
12 outcome in adult animals?

13 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

14 SALMON: Yeah. Sorry, let me get -- I'm sorry, I've got
15 the wrong button.

16 This is an animal study.

17 PANEL MEMBER BLANC: Right. Is this one of a
18 group of animal studies?

19 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

20 SALMON: There are other similar, yes.

21 PANEL MEMBER BLANC: With an adult impacted in
22 utero exposure in terms of fertility?

23 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

24 SALMON: Yeah. I'm trying -- yes.

25 SUPERVISING TOXICOLOGIST MARTY: There is another

1 one, Kristensen et al 95 which looked at prenatally
2 exposed female mice and then followed them.

3 PANEL MEMBER BLANC: Kristensen?

4 SUPERVISING TOXICOLOGIST MARTY: Kristensen.
5 It's on page 29 of the summary.

6 PANEL MEMBER BLANC: Kristensen, how do you spell
7 Kristensen?

8 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

9 SALMON: K.

10 PANEL MEMBER BLANC: With a K?

11 SUPERVISING TOXICOLOGIST MARTY: They measured
12 fertility in mice following prenatal exposure and report
13 that the group exposed prenatally to Benzo[a]pyrene showed
14 more reduced fertility.

15 PANEL MEMBER BLANC: Because obviously one of the
16 challenges I think with the Benzo[a]pyrene epidemiological
17 literature is your per force limited to studies in which
18 clearly Benzo[a]pyrene the but one exposure. And I think
19 that despite the lengthy discussion of this recent paper,
20 I don't think it completely suspends my disbelief in terms
21 of what's linked to what in terms of, you know, the
22 supposed difference between the PM 10 dose response and
23 the Benzo[a]pyrene dose response.

24 So obviously for the epidemiologic data and this
25 particular scenario, and ETS, of course, you're talking

1 about myriad of concomitant exposures. So obviously you
2 would need fairly straightforward animal data with clear
3 cut exposures in dose responses to support those.

4 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

5 SALMON: Yes, which, you know -- I mean, there are animal
6 experiments which correspond in their findings to those in
7 human.

8 PANEL MEMBER BLANC: So you're putting the weight
9 then for polycyclic aromatic hydrocarbons is really the
10 weight of your argument in terms of what's bringing it up
11 to the four, would be its developmental toxicity.

12 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

13 SALMON: I think it's easier to point to specific
14 experiments which demonstrate that as a concern. The
15 problem with the carcinogenicity literature is that
16 people, although they've done a huge amount of work and
17 everybody who writes on the subject seems to cite this
18 belief that the exposure is occurring early in life offer
19 greater sensitivity.

20 Nonetheless, it's relatively hard to find a good
21 clear cut experimental demonstration why they have that
22 believe. I think the answer is because it's a belief
23 which was established, you know, probably 50 or 75 years
24 ago, in the early stages of the development of the
25 carcinogenesis literature. And people didn't necessarily

1 bother to document the basis of their beliefs quite so
2 thoroughly as they do now.

3 PANEL MEMBER BLANC: Now, let me ask you another
4 question about the preferential sensitivity of children
5 involved to our discussion this morning about
6 developmental effects and why that would be relevant to
7 the issue at hand.

8 If a toxin, let's say, were a fairly potent
9 carcinogen in adults and that was its major effect, and
10 didn't seem to -- let's say children were resistant to
11 that affect, hypothetically, of course, you know substance
12 A. And then that substance also had a developmental
13 effect, which you made the argument is an effect on
14 children, if they survive to be born, would that overall
15 make that chemical a priority in your view, even though
16 it's other toxic effects were really more important in
17 adults.

18 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF
19 SALMON: I think one of the reasons why I personally think
20 that this -- some of these findings are worth looking at
21 further is illustrated by this slide here of the time
22 course. It's possible to -- I haven't done the arithmetic
23 here, so I couldn't tell you how exactly this would work
24 out. But I think looking at this kind of situation where
25 you have a narrow sensitive window and looking at this as

1 a specific developmental interference rather than perhaps
2 a more general adverse health impact kind of thing.

3 You could have a situation where on the one hand
4 perhaps steady ambient levels of pollutants such as
5 Benzo[a]pyrene and other PAHs would probably -- that would
6 be impacted, you know, in terms of the adult carcinogenic
7 potency as a regulation say on the average -- the annual
8 average level.

9 But to protect against an effect like this, you
10 would need to have perhaps a protection against the
11 short-term peaks. And, in fact, Dejmek at al. show that
12 time course of exposure as being very episodic So it's
13 possible that you would want actually the know about both
14 effects and to have regulations framed to deal with both
15 episodic peaks in the exposure, which might impact infants
16 and/or fetuses at the specific phase of development,
17 versus the adult impact, which would be more concerned
18 with the annual average.

19 That would be one. I mean, I'm not saying that
20 that's -- you know, that that doesn't prove anything, but
21 it's -- it's a reason for wanting to be concerned about
22 both types of end point.

23 SUPERVISING TOXICOLOGIST MARTY: I think there's
24 another issue that we need to look into a little more.
25 There was a paper at the toxicology meetings last month

1 that looked at a mechanistic reason for intrauterine
2 growth retardation by PAHs.

3 And they found that the PAH that they used
4 inhibited vascularization of the placenta. So that, to
5 me, would be a strong mechanistic reason why you would
6 have intrauterine growth retardation.

7 Now, it's just an abstract and I want to go back
8 and talk to this person and see if she's published other
9 papers, but we can try to develop that line of evidence
10 also.

11 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF
12 SALMON: There are things in the literature saying that
13 this specific effect is related to placental development
14 as it were, rather than anything else, but exactly I don't
15 how much detail you want on that.

16 CHAIRPERSON FROINES: We have a history of
17 focusing on PAHs, because they're products of incomplete
18 combustion, so when you have one, you have others. And we
19 develop -- there have been enough carcinogenicity worked
20 on at least to indicate that at least a certain number are
21 carcinogenic. And so we developed these relative potency
22 scales.

23 Where we're looking at other effects,
24 developmental or any other effects for that matter, it's
25 not entirely clear to me that one can simply link quote

1 "PAHs", because for that abstract that she's talking
2 about, do we know that that occurs across PAHs or do we
3 know that it occurs in the PAH that they looked at and do
4 we have evidence to indicate that it occurs in others?

5 So, for example, we look at pyrene as a
6 noncarcinogen and we look at BAP as a carcinogen. We
7 recognize that there are differences. So at some level
8 this grouping everything under one umbrella has some
9 potential dangers to, it seems so me, because on the one
10 hand some of the data is with a specific PAH, but there's
11 no evidence necessarily to indicate that it goes beyond
12 that.

13 There is an assumption that it does, and, you
14 know, from a control strategy, clearly it would be nice if
15 everything was simple, but it's a bit of a problem.

16 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF
17 SALMON: It's certainly not always easy to address that,
18 but I think some of the evidence linking the various
19 effects seen with the formation of DNA or protein adducts
20 from PAHs at least tends to tie it together into a single
21 mechanistic picture, which gives you some hope that the
22 range of problems isn't too diverse.

23 CHAIRPERSON FROINES: Well, I think Peter also
24 raised the question, if I understood it, that the
25 formation of an adducts as we well know, does not indicate

1 a risk to cancer. It's a first step in what the long
2 process.

3 PANEL MEMBER WITSCHI: Yeah, actually it's a good
4 example as far as swapping adducts in liver, because it
5 has been never been shown to be a liver carcinogen.

6 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF
7 SALMON: Clearly it's not the whole story.

8 PANEL MEMBER BLANC: Well, I guess maybe we
9 should move on to the next chemical.

10 CHAIRPERSON FROINES: Is everyone satisfied with
11 the discussion to this point on PAHs that we can move on?

12 PANEL MEMBER BLANC: I'm satisfied that I
13 understand the basis upon which you've made the conclusion
14 that you've made. I think that's the purpose of it,
15 right, is for me the understand the thinking on it, right?

16 PANEL MEMBER FUCALORO: I think your summary was
17 fine.

18 CHAIRPERSON FROINES: Obviously, we're not going
19 from their presentation immediately into the discussion of
20 what we think. I think it's important for the panel to
21 be -- each panel member to be thinking about the criteria
22 that we want to use in addressing the chemicals that we
23 think are important. In other words, we need to think
24 about the questions that we want to ask ourselves, what
25 are our criteria, what are our questions, because it's

1 going to come back on us at some point.

2 PANEL MEMBER FUCALORO: It's somewhat fortuitous
3 that the next item the diesel exhaust, because diesel
4 exhaust was a substance, or actually a combination of
5 about 200 substances, that we designated as a toxic -- at
6 least a particulate matter, which still has roughly a
7 couple hundred substances.

8 And the PAHs is a collection of compounds. And
9 one has to ask the question why are they connected? Are
10 they connected in their production in the environment. In
11 other words naphthalene the produced separately, right,
12 naphthalene the produced separately so therefore that
13 seems reasonable to at least consider that separately,
14 because it is produced separately.

15 Whereas, the others may always be produced at the
16 same time in complete combustion, isn't that the primary
17 source of the rest?

18 So, in that regard, I guess the PAHs could be
19 lumped together with the exclusion of naphthalene. You
20 see, I'm trying the get a consistent way of looking at it.

21 Here, the particulate matter comes out from the
22 diesel engines. So therefore you lump it together, but
23 PAHs. There's a natural break with naphthalene, at the
24 very least. There may be others. I don't know the
25 chemistry as well.

1 SUPERVISING TOXICOLOGIST MARTY: Naphthalene the
2 form during incomplete combustion.

3 PANEL MEMBER FUCALORO: But where is it mostly
4 formed?

5 SUPERVISING TOXICOLOGIST MARTY: That's a
6 question for the Air Board.

7 CHAIRPERSON FROINES: From everybody's moth balls
8 in their closets.

9 (Laughter.)

10 PANEL MEMBER FUCALORO: I mean, it's a commercial
11 product, isn't it?

12 SUPERVISING TOXICOLOGIST MARTY: It is.

13 PANEL MEMBER BLANC: Isn't naphthalene -- I guess
14 this may be offbase, but isn't naphthalene also an inducer
15 of methemoglobinemia. Where's our pediatrician? I mean,
16 wouldn't that actually be an incredible tip in the scales
17 based on your criteria?

18 SUPERVISING TOXICOLOGIST MARTY: It would be.

19 CHAIRPERSON FROINES: Naphthalene has some very
20 powerful evidence for cataract formation.

21 PANEL MEMBER BLANC: I'm almost sure that
22 naphthalene can induce methemoglobinemia because the old
23 moth ball preparations, which no longer contain
24 naphthalene. But in the old days, it was a major source
25 of childhood congestion. And were that -- unless I'm

1 confusing two different -- is it naphthalene we're talking
2 about. Naphthalene was in moth balls, correct?

3 CHAIRPERSON FROINES: Um-hmm.

4 PANEL MEMBER BLANC: Melanie, if that, indeed, is
5 correct, then I would say that that would be an
6 overwhelming reason why you'd have to treat it separately.

7 PANEL MEMBER GLANTZ: This report is a real work
8 in progress, isn't it?

9 PANEL MEMBER BLANC: I'm serious though, because
10 that would just drive --

11 SUPERVISING TOXICOLOGIST MARTY: Yeah, I can see
12 where you would need to look at the toxicity separately,
13 but in terms of listing it, if you list PAHs, then ARB has
14 the look at all the sources of PAHs and deal with all the
15 sources when they do risk management, which would
16 encompass everything.

17 PANEL MEMBER BLANC: All I'll saying is it would
18 be a complete slam dunk in terms of naphthalene, if it
19 induces -- on top of everything else, if it induces
20 methomoglobinemia.

21 PANEL MEMBER BYUS: What dose though, that's the
22 key?

23 PANEL MEMBER BLANC: I don't think that it's a
24 threshold, it's just a question of --

25 PANEL MEMBER BYUS: I'd be surprised.

1 PANEL MEMBER GLANTZ: Why don't we go on to
2 diesel. We've sort of pounded PAHs pretty well.

3 CHAIRPERSON FROINES: But there's nothing that
4 requires us -- that says we cannot separate out a chemical
5 if we think that it's relevant to do so.

6 (Thereupon an overhead presentation was
7 presented as follows.)

8 SUPERVISING TOXICOLOGIST MARTY: Diesel Exhaust
9 Particulate was placed in Tier 2 in our assessment. The
10 evidence that we gathered about diesel exhaust particulate
11 in terms of impacting children were that it contains PAHs
12 so it's an important source of PAHs in the atmosphere, and
13 you just heard our discussion of PAHs.

14 It is a component of PM 10. We are concerned
15 about PM 10 effects on asthma, including exacerbation of
16 asthma.

17 --o0o--

18 SUPERVISING TOXICOLOGIST MARTY: And also there
19 are studies which have associated PM with infant and child
20 morbidity and mortality. There are a number of studies
21 now showing evidence of enhanced allergenicity by diesel
22 exhaust particulate. And, of course, this is a form of
23 immunotoxicity, which is one of our flags for concern for
24 kids.

25 And then there is evidence we respiratory health

1 impacts in traffic studies. And, of course, we consider
2 it a carcinogen.

3 --o0o--

4 SUPERVISING TOXICOLOGIST MARTY: Diesel exhaust
5 particulate contains PAHs and nitro PAHs. We just heard a
6 discussion on the developmental toxicity issue, including
7 reduced birth weight and dysmorphogenesis. We're
8 concerned that the fetus or neonate may be more
9 susceptible to the genotoxic effects of PAHs.

10 PAHs undoubtedly contribute to the
11 carcinogenicity of the diesel exhaust particulate, and
12 they are bio available.

13 --o0o--

14 SUPERVISING TOXICOLOGIST MARTY: Diesel exhaust
15 is also a source of PM 10. Actually, it's very small PM,
16 so it's PM 2.5 or lower. And there are a number of
17 studies that have associated PM 10 with exacerbation of
18 asthma and bronchitis and wheeze in kids.

19 There are several studies now which have
20 demonstrated an association between neonatal, infant and
21 child mortality with both short-term episodic exposures to
22 PM 10 and also with longer-term exposures to PM 10. There
23 are studies associating decreased lung function in
24 children with PM 10 exposures. And, in addition, children
25 experience higher particle loads per unit lung surface

1 area than adults breathing the same concentration.

2 --o0o--

3 SUPERVISING TOXICOLOGIST MARTY: Immunotoxicity,
4 as I mentioned earlier is a concern. It's one of our red
5 flag toxic end points. And there are now a -- there's a
6 growing database that's looking at enhancement of
7 allergenicity by diesel exhaust particles. Intranasal
8 installation studies have shown enhanced IgE response the
9 aeroallergens, increased pro-inflammatory cytokines in the
10 nasal lavage.

11 There's recent studies indicating that diesel
12 exhaust particular enhances the development of new allergy
13 in people who are atopic. And this has implications for a
14 possible role in increasing asthma prevalence and
15 implications in children in particular.

16 --o0o--

17 SUPERVISING TOXICOLOGIST MARTY: I just wanted to
18 have a little bit of information for just a few of the
19 many studies that are looking at this is use of enhanced
20 allergenicity.

21 Diaz-Sanchez and colleagues in '97 published a
22 paper where they looked add intranasal challenge with
23 ragweed. And then 60 days later challenged them
24 intranasally with ragweed plus diesel exhaust particulate.
25 In both cases, they looked at the nasal lavage fluid to

1 look at impacts on different IgEs. And ragweed specific,
2 IgE was elevated in the nasal lavage with diesel exhaust
3 particulate plus ragweed relative to just the ragweed
4 along. And that was highly statistically significant.
5 They also found elevated IgG4. And they found altered
6 cytokine production towards the pro-inflammatory
7 cytokines.

8 --o0o--

9 SUPERVISING TOXICOLOGIST MARTY: Diaz-Sanchez et
10 al in '99, the purpose of this paper was to look at
11 whether you could induce a new allergy in atopic subjects
12 and they used the keyhole Limpit hemocyanin in protein,
13 which is a protein that you wouldn't normally be exposed
14 to, certainly not by inhalation or intranasally, unless
15 you're snorting Limpits.

16 They did a co-administration of diesel exhaust
17 particulate with this KLH, and found IgE specific KLH, but
18 they did not find that in the lavage fluid when they just
19 used KLH alone without this co-administration in the
20 diesel exhaust particulate intranasally.

21 They also found stimulated IgG4 production
22 relative to just the keyhole Limpit hemocyanin alone. And
23 then also increased allergy related cytokines in the
24 presence of DEP relative to when the keyhole Limpit
25 hemocyanin was given alone.

1 --o0o--

2 SUPERVISING TOXICOLOGIST MARTY: And the same
3 group in 2000 published a paper where they found that
4 diesel exhaust particulate enhanced clinical symptoms of
5 allergy in people who were sensitive to dust mites. So
6 they instilled the diesel exhaust particulate and
7 challenged them with dust mite also.

8 They measured the histamine release that was
9 about three times higher when the installation included
10 the diesel exhaust particular compared with just the
11 allergen alone. They also looked at whether carbon black
12 would have the same effect. And in this particular study
13 it did not.

14 And they also looked at murine mast cell model to
15 look at histamine release by a degranulation of the mast
16 cells. And this was increased by dichloromethane extracts
17 of the diesel exhaust particulate. And this implies a
18 role of absorbed chemicals on the particulate in enhancing
19 the allergenicity.

20 --o0o--

21 SUPERVISING TOXICOLOGIST MARTY: I did want to
22 touch on some of the traffic studies that have been done
23 in Europe that we're trying to evaluate respiratory
24 symptomatology in lung function in kids in association
25 with proximity the dense traffic.

1 There were a number of studies. They looked at
2 increased respiratory symptoms, allergic rhinitis, and
3 decreased lung function, which correlated the truck
4 traffic density black smoke measurements, which is a
5 measurement of fine particles, it's primarily PM 2.5 and
6 less, in several cross-sectional studies. Some of the
7 studies used traffic density as the exposure metric, some
8 of them used truck traffic density specifically and one
9 measured black smoke.

10 --o0o--

11 SUPERVISING TOXICOLOGIST MARTY: There were two
12 publications in '97 by the same group. It's actually the
13 same study, Brunekreef et al. published on the lung
14 function Measurements and van Vliet et al. was the same
15 study publishing the information on the respiratory
16 symptoms.

17 And they evaluated current respiratory symptoms
18 and lung function in boys and girls in six Netherlands
19 communities. The traffic metrics used were distance from
20 home and the school to a road coupled with traffic density
21 and truck traffic density. They also measured NO2 and
22 they measured black smoke. And they also measured PM 10
23 inside of the schools that the kids were attending.

24 --o0o--

25 SUPERVISING TOXICOLOGIST MARTY: They found

1 increase cough bronchitis and wheeze, but not asthma, was
2 associated with black smoke and truck traffic density, and
3 is primarily for girls living within 100 meters of the
4 roadway. They did find statistically significant
5 decreased lung function associated with traffic and black
6 smoke and truck traffic density were the stronger
7 predictors of that effect.

8 The effect was stronger in girls. And relative
9 to residents more than 1000 meters from a roadway, there
10 was an increased effect for those kids living within 300
11 meters of the roadway.

12 --o0o--

13 SUPERVISING TOXICOLOGIST MARTY: And this is just
14 a little bit of the data from Brunekreef. This gives the
15 percentage change with the 95 percent competence interval
16 in lung function for kids, this is both genders now,
17 living within 300 meters of the motorway. And FEV1
18 dropped 4.1 percent per 10,000 trucks or 3.7 percent per
19 ten micrograms per cubic meter of black smoke. And peak
20 expiratory flow rate dropped 7.7 percent per 10,000 trucks
21 and about at about 5.8 percent Per 10,000 micrograms per
22 cubic meter black smoke.

23 PANEL MEMBER FRIEDMAN: Were these
24 cross-sectional studies comparing, you know, truck traffic
25 in different areas or did they look at truck traffic over

1 time and find these differences as that changed?

2 SUPERVISING TOXICOLOGIST MARTY: It was
3 cross-sectional looking one area to the next.

4 PANEL MEMBER FRIEDMAN: Did they control for
5 exposure to environmental tobacco smoke?

6 SUPERVISING TOXICOLOGIST MARTY: Yes.

7 PANEL MEMBER FRIEDMAN: Because you know, you
8 might think that lower socioeconomic status would be
9 living close to the roads maybe smoke more and so on.

10 SUPERVISING TOXICOLOGIST MARTY: They did adjust
11 for the confounders on this slide, age, gender ethnicity,
12 smoke, presence of pets in the home, dampness of the home,
13 number of people living in the home, whether or not there
14 was a gas stove or other gas appliance and parental
15 education.

16 In this study, there is a clear dose response
17 between FEV1 and truck traffic density across the six
18 communities that they looked at.

19 --o0o--

20 SUPERVISING TOXICOLOGIST MARTY: I did want the
21 touch on Osterlee, another traffic study and done in '96
22 in the Netherlands. this is again a cross-sectional study
23 using within neighborhood comparisons. They evaluated
24 prevalence of respiratory symptoms either ever or current.
25 And they evaluated it in children zero to 15 years old and

1 also in adults in the same household via respiratory
2 health questionnaires.

3 They traffic metric was essentially they modeled
4 nitrogen dioxide using CAR model, which predicts
5 concentrations in urban areas on the basis of traffic
6 density.

7 They're quote, "exposed group" were kids and
8 adults that lived on busy streets with the predicted NO2
9 concentrations as seen in the slide. And this represented
10 about 10,000 to 30,000 cars per day on those streets, the
11 residential streets. And then they compare these to
12 people living in less exposed, which were residences with
13 low traffic density, but in the same neighborhood.

14 --o0o--

15 SUPERVISING TOXICOLOGIST MARTY: There was a
16 significant relationship between the traffic density and
17 current asthma medication. It was significant for kids
18 but not for the adults. And the odds ratio is as seen on
19 the slide 2.2. There was a strong effect in girls than
20 the odds ratios following for wheeze-ever, wheeze past
21 year, dyspnea with wheeze-ever, dyspnea with wheeze in the
22 past year and respiratory meds.

23 Those were OR specifically for the girls in this
24 study. So many of those are significant. Those are all
25 significant actually.

1 --o0o--

2 SUPERVISING TOXICOLOGIST MARTY: When they
3 combined the boys and girls and looked ever wheezing, the
4 result was significant at the P.05 level, but it was not
5 for adults. And the only significant effect they found in
6 adults was occasional dyspnea while walking. So the
7 investigators then conclude that children are more
8 sensitive to the respiratory impacts of traffic related
9 pollutants.

10 PANEL MEMBER FRIEDMAN: How were they able the
11 narrow this down the diesel exhaust specifically?

12 SUPERVISING TOXICOLOGIST MARTY: They did not do
13 that. The point that I wanted to make in Osterlee was
14 that this is the one study that's actually looked at
15 traffic and has looked at children and adults.

16 So you can't -- you can't say from this study
17 that those impacts were all from truck traffic, but there
18 was significant truck traffic in the mix of traffic, and
19 there's significant diesel exhaust from automobiles in the
20 mix of traffic in the Netherlands. And NO2 is also
21 associated with emissions from diesel engines. So it's an
22 arrow that's pointing in the direction, but you can't call
23 it conclusive evidence.

24 PANEL MEMBER FRIEDMAN: Are most of the trucks
25 there diesel trucks?

1 SUPERVISING TOXICOLOGIST MARTY: Yes. It's my
2 understanding that most of the trucks are diesel. They
3 actually use a fuel that's cleaner than in the US. It's
4 clean in terms of much lower sulfur content, which leads
5 the less particulate matter emission.

6 --o0o--

7 SUPERVISING TOXICOLOGIST MARTY: There were a
8 number of other studies looking at self reported traffic
9 exposures that are mentioned in our document, but I didn't
10 think were particularly useful to bring up in this
11 discussion.

12 PANEL MEMBER WITSCHI: In this study, there was a
13 check for lead, because I think in Europe they still have
14 the fuels.

15 SUPERVISING TOXICOLOGIST MARTY: I don't know if
16 they checked.

17 AIR POLLUTION EPIDEMIOLOGY UNIT CHIEF OSTRO:
18 This is Bart Ostro from OEHHA. But there's also been very
19 little evidence relating lead to some of these respiratory
20 outcomes. That might not -- there's very little evidence
21 relating lead to these respiratory outcomes, but they
22 didn't specifically measure lead.

23 SUPERVISING TOXICOLOGIST MARTY: I just wanted
24 the mention that Michael Lipsett and Bart Ostro are here
25 from OEHHA to address issues related to the particulate

1 studies.

2 PANEL MEMBER BLANC: I have a few questions then.
3 First of all, the pieces that seems to be missing from the
4 summary discussion on this section would be the explicit
5 rationale for why something, which could act as an
6 adjuvant in sensitization would be likely to
7 differentially affect children.

8 So I think there needs to be some series of
9 statements there with whatever supporting literature you
10 can that probably would be referenced to the epidemiology
11 of childhood asthma in terms A2PNIG sensitization and why
12 something which could induce sensitization would likely --
13 that this would likely be a target population.

14 Secondly, I don't really understand some of the
15 organizational aspects of the summaries, because you have
16 on page five, six and seven, for example, of the section,
17 you have summary of key -- so you start off with
18 carcinogenicity.

19 Then you, B, other effects. And then the next
20 page it's potential for differential effects. You have,
21 A, carcinogenicity, B, general effects, and C
22 immunological and respiratory effects. Now, in the
23 immunologic and respiratory effects that where this
24 discussion would happen, but in B which the general
25 effects, you have a lot of stuff about asthma. And then,

1 again, in respiratory effects, you have stuff about
2 asthma, so I don't really -- it was confusing the logic of
3 that, it didn't parallel the other sections. So I would
4 just do the noncarcinogenic or however you're going the do
5 it be logical about it.

6 SUPERVISING TOXICOLOGIST MARTY: Sure.

7 PANEL MEMBER BLANC: You seem to -- I don't think
8 that you can use the Thirsten citation 2000 as you have,
9 since it refers to an internal document prepared for
10 OEHHA, so it's not even is the use of citing a review
11 article, it's even worse than that.

12 SUPERVISING TOXICOLOGIST MARTY: Where is that?

13 PANEL MEMBER BLANC: It's on page six, middle
14 paragraph, "These effects are particular seen for
15 asthmatics and those with other existing respiratory and
16 cardiovascular diseases, especially the Elderly Thirsten
17 2000. And then Thirsten 2000 is particulate matter in
18 sulfate evaluation of the current California air quality
19 standards with respect to protection of children prepared
20 for the California Air Resources Board.

21 DR. LIPSETT: You're concerned about that is that
22 it hasn't been peer reviewed?

23 PANEL MEMBER BLANC: Yeah, how am I supposed to
24 know what that is? Am I supposed to go to the library and
25 find that?

1 DR. LIPSETT: Well, it is on our web site. It
2 was part of a review that Dr. Thirsten did for us as part
3 of the SB 25 process dealing with the criteria pollutant
4 prioritization. And perhaps the web address for this
5 ought to be included in here if it's not.

6 CHAIRPERSON FROINES: Unless I'm mistaken --

7 DR. LIPSETT: It was also included in the
8 responses to some of the comments too.

9 SUPERVISING TOXICOLOGIST MARTY: Yes, it is. And
10 this document was actually peer reviewed by a panel that
11 included a large number of people.

12 PANEL MEMBER BLANC: Well, perhaps what you
13 suggest as an option is putting this on the web address if
14 it's been electronically published.

15 CHAIRPERSON FROINES: Is what's in here the full
16 document?

17 SUPERVISING TOXICOLOGIST MARTY: No.

18 CHAIRPERSON FROINES: That looks like a much
19 thicker document.

20 DR. LIPSETT: Yeah. Well, this document here
21 which was done for the criteria pollutant process includes
22 reviews for the other criteria pollutants as well. I
23 think the only one that's included in the comments,
24 Melanie, you can correct me if I'm wrong about this, is
25 Dr. Thirsten's report, which is one of several that's in

1 here.

2 PANEL MEMBER BLANC: But, for example, in the
3 methylene chloride discussion, you don't cite carbon
4 monoxide Criteria review, I suppose?

5 Okay. The Diaz-Sanchez I mean you presented a
6 lot of sides, and of course that's very important and
7 relevant work. You might want to check the Diaz 2000
8 reference, the Diaz-Sanchez doesn't appear to be in the
9 reference list in the back, although you do cite it.

10 SUPERVISING TOXICOLOGIST MARTY: Sorry. There's
11 actually many more we could have put in here on that same
12 issue.

13 PANEL MEMBER BLANC: Well, yeah, and of course
14 obviously you want to cite other people's work too. And
15 although you do have two of the -- or at least -- well, I
16 believe two of the Japanese papers. There's essentially
17 been a sort of flurry of these papers from Japan, and I
18 think they should be cited.

19 SUPERVISING TOXICOLOGIST MARTY: Okay.

20 PANEL MEMBER BLANC: And double check those to
21 see that there isn't something, in fact, that would be age
22 relevant, because there's been so much on this. I would
23 wonder if by now somebody hasn't done something that would
24 be -- so that you weren't completely relying on, you know,
25 the logic of it. There was some direct evidence to the

1 extrapolation, but certainly a plausible argument, but it
2 would be nice.

3 Now, let me ask you another question in terms of
4 the contribution to nonpoint source PAHs from diesel, as
5 the percentage?

6 SUPERVISING TOXICOLOGIST MARTY: I think we have
7 something about that in our response the comments and I
8 can't remember what we said off the top of my head.

9 PANEL MEMBER FUCALORO: You mean mobile sources?

10 PANEL MEMBER BLANC: Yeah. Is it five percent?
11 Is it 20 percent.

12 PANEL MEMBER BYUS: It's eight percent or
13 something in the letter that was sent in response.

14 PANEL MEMBER FUCALORO: EMA said eight percent?

15 PANEL MEMBER BYUS: Something like that. It's in
16 their comments.

17 SUPERVISING TOXICOLOGIST MARTY: I think that was
18 the percent contribution to PM, not the percent
19 contribution the PAH.

20 PANEL MEMBER BYUS: Oh, that's right.

21 SUPERVISING TOXICOLOGIST MARTY: John is telling
22 me that there is not a good estimate percent contribution
23 to atmospheric PAH.

24 PANEL MEMBER BLANC: Well, my follow-up thought
25 on that would be that let's assume that it was a

1 biologically meaningful proportion of the PAHs were from
2 diesel particulate, included in diesel particulate, and
3 then you were going to argue that -- so that it has all of
4 the attributes that you've just made the arguments about
5 PAH.

6 And then in addition to that it has all of this
7 asthmagenic or allergenic potential, wouldn't the logic be
8 there for that it would somehow have to outrank PAHs no
9 matter how you did it?

10 PANEL MEMBER FUCALORO: PAH plus?

11 SUPERVISING TOXICOLOGIST MARTY: Well, we ended
12 up putting it into Tier 2, primarily because the pieces of
13 evidence we had were indirect. They were all pretty big
14 arrows pointing to diesel exhaust particulate, but they --

15 PANEL MEMBER BLANC: Well, certainly the
16 arguments in terms of PAHs are no less indirect than PAH.
17 So anything that you have beyond a PAH effect would
18 certainly be supplemental to that, wouldn't it?

19 SUPERVISING TOXICOLOGIST MARTY: For example, we
20 didn't have good studies on teratogenicity of PAHs or
21 developmental -- I'm sorry -- teratogenicity or
22 developmental toxicity of diesel exhaust, but we did -- we
23 had a few. We had two, but we had more studies on
24 teratogenicity and development toxicity of PAH.

25 PANEL MEMBER GLANTZ: Yeah, but you know, if you

1 look at just the stuff that you presented today in terms
2 of differential effects on kids, I think you showed
3 stronger evidence here for diesel exhaust than PAHs. I
4 mean that's the way it looks to me. Do you want to --

5 PANEL MEMBER FUCALORO: I mean think about it.
6 It's a plausible statement I think.

7 SUPERVISING TOXICOLOGIST MARTY: Well, I guess
8 then why would you want to remove PAH and not --

9 PANEL MEMBER BLANC: Well, that's a separate
10 argument.

11 PANEL MEMBER GLANTZ: Yeah, that's a separate
12 question.

13 PANEL MEMBER BLANC: That's a separate argument
14 about whether or not they would both be in the top five or
15 neither would be in the top five. I was asking the
16 question, logically, how could PAHs be in the top five and
17 diesel not be in the top five from your point of view,
18 based on your --

19 SUPERVISING TOXICOLOGIST MARTY: Just the
20 directness of the studies, that we had studies of PAH in
21 humans. We have it in animals. We have it in
22 developmental types.

23 PANEL MEMBER BLANC: But you don't have any doubt
24 that PAHs aren't in diesel, do you?

25 SUPERVISING TOXICOLOGIST MARTY: I'm sorry.

1 PANEL MEMBER BLANC: You don't have any doubt
2 that PAHs are in diesel particulate?

3 SUPERVISING TOXICOLOGIST MARTY: In diesel, no we
4 don't have any doubt about that.

5 So you're saying that there's more than one end
6 point relevant to children, so why doesn't that
7 outweigh --

8 PANEL MEMBER BLANC: Right. And If I am to
9 accept your argument for PAHs, then I have to apply all of
10 that argument to diesel and then anything else in addition
11 to that that you could come up with regarding diesel.

12 SUPERVISING TOXICOLOGIST MARTY: Well, there's
13 actually an interesting twist to this whole discussion,
14 and that is that there are some pieces of evidence showing
15 that the enhanced allergenicity by diesel exhaust
16 particulate might be from the PAH content of the
17 particles.

18 PANEL MEMBER BLANC: Perhaps.

19 PANEL MEMBER FUCALORO: That doesn't vitiate the
20 argument.

21 CHAIRPERSON FROINES: I think she means it
22 supports it.

23 PANEL MEMBER BLANC: No, it doesn't.

24 SUPERVISING TOXICOLOGIST MARTY: Yeah. So it
25 would support both. It would support diesel being listed,

1 and it would support PAH being listed.

2 CHAIRPERSON FROINES: But I think --

3 PANEL MEMBER FUCALORO: No, all it supports is a
4 reordering. It doesn't know what comes in Tier 1. They
5 both may be in Tier 2, but it orders it. Isn't that what
6 you were saying?

7 PANEL MEMBER BLANC: I'm just saying that based
8 on what you've presented and what --

9 PANEL MEMBER FUCALORO: Yeah, it would support a
10 reordering.

11 PANEL MEMBER BLANC: At a minimum, one would have
12 to go before the other. Now, maybe both of them would
13 make it into the top five. Maybe neither of them would,
14 you know, exceed, but I fail to see the logic of including
15 PAHs in the top five and excluding diesel from the top
16 five. If we accept the rationale for PAHs, don't we have
17 to apply that rationale to diesel and then look at what
18 else you have for diesel over and above that?

19 SUPERVISING TOXICOLOGIST MARTY: If that's what
20 you folks want to us to do --

21 PANEL MEMBER FUCALORO: No, no, no that's not
22 what --

23 CHAIRPERSON FROINES: Melanie, at this point, I
24 think what you should do is say thank you --

25 SUPERVISING TOXICOLOGIST MARTY: Yes, I should

1 say thank you.

2 CHAIRPERSON FROINES: -- because what he's
3 raising and what Stan is raising and what Tony is raising
4 are basically issues that we're going to have to decide on
5 the panel about how we think about this is use. And so
6 for him to ask you the question is to help clarify it for
7 the panel's benefit, but you're now in a position where
8 it's reasonable to give it to us and say you folks decide
9 how you think about this.

10 PANEL MEMBER GLANTZ: Can I ask a couple
11 questions?

12 I got from Jim Bearum via E-mail the letter from
13 the engine manufacturers association, where they did take
14 exception to some of the arguments in the earlier report.
15 And, you know, I know this came in late, and so there
16 wasn't the usual kind of formal response, but I would be
17 interested in hearing what you guys had to say about the
18 specific objections that they make, particularly the
19 stuff -- well, the pages aren't numbered.

20 But they have a sort of general introduction, but
21 then they list, I think, five specific points, which
22 differ pretty substantially from the argument you guys are
23 making, you know, for. And I think it would be -- I'd be
24 very interested in just hearing what are your responses to
25 the specific criticisms that they've raised.

1 SUPERVISING TOXICOLOGIST MARTY: Okay. Actually,
2 we read that letter and we've prepared some responses to
3 those particular criticisms.

4 The first comment is basically that health
5 effects associated with PM 10 or PM 2.5 cannot be
6 specifically attributed to diesel particulate matter. And
7 that we incorrectly attribute health impacts associated
8 with PM 10 or PM 2.5 to diesel exhaust PM, and that the
9 associations between PM and cardiovascular events,
10 hospital visits and even deaths are tentative, and that
11 diesel exhaust particulate only contributes a small
12 portion of PM 10 and PM 2.5.

13 So, I mean, our response is first that there are
14 dozens if not hundreds of studies linking PM 10 and PM 2.5
15 to cardiovascular and respiratory and morbidity and
16 mortality. And we would not call that a tentative,
17 association. Rather it's robust and many, many studies
18 with statistically significant effects and it's consistent
19 across studies. So we don't agree at all that there's
20 tentative associations between PM 10 and health effects.

21 Secondly, we did not suggest that diesel exhaust
22 particulate matter was the singular predominantly or
23 unique cause of any health effects of PM as stated in the
24 comment, but rather that diesel exhaust particulate matter
25 is a component of PM that's been measured in the studies

1 associating PM with the health impacts.

2 We would also say that mechanistic data indicate
3 that diesel exhaust particulate matter exerts specific
4 affects on the immune system as noted in the last set of
5 slides. That's not necessarily shared by other PM
6 components like Crystalline silica. That was shown in a
7 study by Z-i-j-b-e-r-d-e-n et al 2000 and that these
8 enhance allergenic effects could lead to the exacerbation
9 of allergic rhinitis and very possibly asthma.

10 And then, of course, since the prevalence of
11 asthma the higher in kids that's a flag for concern for
12 kids.

13 The second comment.

14 DR. LIPSETT: Melanie, could I interrupt --

15 SUPERVISING TOXICOLOGIST MARTY: Sure.

16 DR. LIPSETT: -- and amplify that comment a
17 little bit. There are actually several cities where some
18 of these PM studies have been done where the predominant
19 contributor to PM is diesel. And London is one of those
20 cities. Santiago is another where you might have as much
21 as 80 plus percent of particulate during much of the year
22 due to diesel exhaust. So there are at least certain
23 instances where these PM studies have been done linking PM
24 to mortality and morbidity, where the primary constituent
25 really is diesel.

1 CHAIRPERSON FROINES: I think that that's
2 important to document. I, frankly, have some trouble with
3 the notion that PM diesel is a component of PM 10,
4 therefore diesel fits this criteria. I actually don't buy
5 it. And as everybody knows there are differences in
6 particle size, distribution and particle number and a lot
7 of different variables that need to be considered in this.

8 And we're all -- the people in this little round
9 table here are all familiar with the various issues. And
10 I think it's a stretch to say that because diesel the
11 constituent of PM 10, therefore there is a differential
12 susceptibility in children as demonstrated by various
13 studies.

14 And I'll give you one reason I say that is at the
15 last external advisory committee meeting to John Peters
16 Children's Health Study, Jonathan Sammut, who we all know,
17 said that John Peters after ten years of investigation has
18 now demonstrated that air pollution has effects on
19 children.

20 And that's good, showing chronic effects in
21 children is important, but that did not -- what Jonathan
22 was saying is that we don't know, in fact, what causes
23 those chronic effects in children, so I don't think that
24 we should say here anything that goes beyond that
25 conclusion either.

1 PANEL MEMBER FRIEDMAN: Are you suggesting that
2 if 80 percent of the PM 10 in a city that's causing these
3 problems is the proportion from diesel exhaust that we
4 have to raise a question as to whether the whole effect is
5 due the other 20 percent from other sources?

6 CHAIRPERSON FROINES: No, but I'm also saying
7 that there are studies in the east coast of the United
8 States that have very high sulfate levels that one could
9 make similar arguments to. So I think one has to be
10 careful -- I mean, I think it's important for Michael to
11 document the 80 percent, but there are a whole series of
12 studies with very different characteristics of the
13 particulate matter that shows these kinds of findings.

14 So it's very important not to overreach in terms
15 of trying to identify that piece, and say okay in
16 Philadelphia it's caused by sulfate, and in Boston it's
17 caused by something else and in Chile it's caused by
18 something else. I don't think you can draw a conclusion
19 that the studies that we all are familiar with demonstrate
20 that diesel is the culprit or plays a fundamental role.

21 I, basically, think it probably does, but I'm
22 talking about what the level of proof that we have in this
23 respect.

24 PANEL MEMBER FRIEDMAN: If it's 80 percent of the
25 substance in question, then don't you think you can point

1 the finger at --

2 CHAIRPERSON FROINES: No, I think that you have a
3 whole series of studies with very different amounts of
4 diesel contributing to the particulate and you don't know.
5 We don't -- I don't think we know.

6 PANEL MEMBER GLANTZ: I think Gary is making it,
7 thank you for talking.

8 PANEL MEMBER FRIEDMAN: I always need Stan to
9 explain what I'm saying. I bring him along.

10 PANEL MEMBER GLANTZ: Well, no, but I mean I
11 agree with what he's saying though, as I understand it, if
12 the diesel exhaust is contributing most of the PM 10,
13 then -- or PM 2.5, then that's the problem.

14 CHAIRPERSON FROINES: It's a bit of a
15 misstatement by Michael to emphasize the 80 percent in
16 Chile. When you take all the data that have been
17 developed in the six studies and other associated studies
18 to pick out Chile and say 80 percent the leave aside an
19 enormous database that we have to work with.

20 PANEL MEMBER GLANTZ: Well, what does Michael
21 have to say about that.

22 DR. LIPSETT: I think that the only point I was
23 trying to make was that if -- to the extent that
24 particles seem to be associated with morbidity and
25 mortality in a variety of different urban locations

1 throughout the entire world, that in areas where you see a
2 high proportion of diesel, relative to the other kinds,
3 you see basically similar kinds of effects, I think it's
4 not unreasonable to attribute to the diesel particles,
5 the same kinds of effects you would attribute to particles
6 anywhere else.

7 SUPERVISING TOXICOLOGIST MARTY: That was the
8 point of our discussion.

9 PANEL MEMBER BLANC: And I think it's
10 reasonable -- I think both points are well taken, that is
11 to say make sure in the revision of the section that that
12 point was made. And, secondly, I think that based on your
13 presentation and on the written section, I wouldn't say
14 that the PM 10 component is overly emphasized. It's
15 alluded to, and it's put in its place, but it's not
16 driving your diesel section. It would appear, based on
17 the information you have.

18 So I would take both strategies. One, I would
19 make sure that it's not overstated. I don't think it is
20 particularly, but to the extent that there is
21 epidemiologic evidence that in areas where the PM 10 is
22 dominated by diesel, those areas are not protected by that
23 effect. Therefore, there's no reason to think that diesel
24 acts any better or worse than any other generic polluted
25 ambient source of binding, particularly to the extent that

1 if diesel were equal to all other particulates to the
2 extent that it tends to be even more predominant a
3 component 2.5 and to the extent that PM 2.5 maybe more
4 important for certain outcomes, that it would relatively
5 be more important not less important.

6 PANEL MEMBER FRIEDMAN: If I can draw an analogy.
7 If we find, say, that cigarette smoke -- well let's forget
8 about ETS but cigarette smoke to the smoker is causing a
9 variety of harmful effects and in one city, you know, 80
10 percent of the smokers smoke Marlboros, where in another
11 city 80 percent of smokers smoke Camels, you can't say
12 well we have no evidence that it's really Marlboros that
13 are harmful.

14 You know, I think if you think of that analogy,
15 that's what I'm trying to say about diesel exhaust in some
16 areas the main source of particulates. Well, I think we
17 have to worry that diesel exhaust the harmful.

18 CHAIRPERSON FROINES: Well, I don't think there's
19 any question about that. But the National Academy of
20 Sciences has written three volumes in the past years that
21 raise the question of the causal factors associated with
22 all the cardio respiratory diseases that's being discussed
23 today.

24 There are five centers in the United States that
25 are studying the problem. There is a major, major

1 research effort trying to look at the underlying factors
2 associated with cardio respiratory disease derived from
3 particulate. And I think it's a bit glib to say that it
4 is the diesel proportion of PM 10 that's causing all of
5 those factors.

6 PANEL MEMBER FRIEDMAN: That's not what we're
7 saying.

8 PANEL MEMBER FUCALORO: That's not what he's.
9 He's saying that at the very least, there's certainly
10 other sources of PM 10 that are dangerous, but at the very
11 least, because of the Santiago data, that diesel
12 contributes its share.

13 PANEL MEMBER BLANC: John, can I check in with
14 you, as Chair. How many more of those are we going
15 through, because somebody's going the need a break soon
16 including me.

17 CHAIRPERSON FROINES: We are going to be able to
18 go through maybe one more.

19 SUPERVISING TOXICOLOGIST MARTY: Should I finish
20 the comments?

21 PANEL MEMBER GLANTZ: Why don't we take another
22 three hours and finish the last couple of comments or
23 however long it takes. That was a joke for the record.

24 (Laughter.)

25 PANEL MEMBER FUCALORO: I wasn't smiling, Stan.

1 (Laughter.)

2 PANEL MEMBER GLANTZ: Well, but I think these are
3 important points that I think we need to hear about. Why
4 don't we try to do that and have a break. Is that
5 okay?

6 There's only two more or three more.

7 PANEL MEMBER FUCALORO: Well, what are you
8 suggesting, Stan?

9 PANEL MEMBER FUCALORO: I'm just suggesting to
10 let Melanie and her people finish giving us their
11 responses to this letter and then we can --

12 PANEL MEMBER FUCALORO: Prior to that, we really
13 need to know the reporter when he needs a break, because
14 there are some rules I know that regulate that.

15 CHAIRPERSON FROINES: Melanie, how long are you
16 going to take to finish this?

17 SUPERVISING TOXICOLOGIST MARTY: Ten minutes.

18 CHAIRPERSON FROINES: Then let's take a break.

19 (Thereupon a short recess was taken.)

20 CHAIRPERSON FROINES: Okay, Melanie.

21 SUPERVISING TOXICOLOGIST MARTY: The second is
22 the comments from EMA. The second comment indicated that
23 the relationship between asthma and diesel exhaust
24 particulate matter is not known and OEHHA's contention
25 that diesel exhaust particulate matter demonstrates immune

1 system effects that uniquely result in exacerbation of
2 asthma is not proven by scientific evidence, and it goes
3 on to describe that asthma is a complicated disease with
4 lots of different factors that influence it.

5 And although there is evidence in this current
6 literature indicating that increased levels of air
7 pollution may exacerbate asthma, much work needs to be
8 done to determine which substances might be the more
9 important or might play a role. An expression of asthma
10 symptoms may be, at best, associated with a wide variety
11 of air pollutants, and certainly have not been shown to be
12 specific to diesel exhaust particulate matter.

13 And our response to that is we're not really
14 stating the document that asthma is caused by diesel
15 exhaust, rather we're arguing that diesel exhaust exposure
16 exacerbates immune system response to aeroallergens, this
17 could, in fact, exacerbate asthma. And because it also
18 causes new allergies in atopic people, it might, in fact,
19 be a factor in increasing prevalence of asthma.

20 We're arguing with the respect to asthma more
21 that we have many studies which show an association
22 between PM 10 and PM 2.5 exposure and asthma exacerbation.
23 So, as such, diesel exhaust particulate matter, which is a
24 particle of the PM 10 and 2.5 can be associated with
25 exacerbation of asthma.

1 And, yes, it is true that there are probably
2 additive or interactive effects of hall these different
3 pollutants, but the statute requires us to consider that
4 in addressing which chemicals get on the list. So it's
5 still important the consider exacerbation of asthma by
6 diesel exhaust particulate matter.

7 CHAIRPERSON FROINES: Can I make one comment
8 about that, and this reflects something that Paul said
9 earlier. I actually think that there is a very large
10 database on diesel and exacerbation of asthma and other
11 immunologic effects. And just to reemphasize his point,
12 what I'd like you to do if you would, would be to -- I
13 brought about 30 papers with me today, and there's at
14 least 50 that one could include.

15 Your document tends to emphasize David
16 Diaz-Sanchez's work. There's the Japanese work. There's
17 French work. There's Scandinavian work. There's British
18 work and so on and so forth. So I would -- I think this
19 is an extremely important argument, and so I think adding
20 some of the literature to the document would be very
21 helpful, precisely because it is often times diesel
22 specific rather than PM 10 or PM 2.5.

23 SUPERVISING TOXICOLOGIST MARTY: Sure. We also
24 have the truck traffic studies which measure respiratory
25 impact in kids from -- that were correlated the black

1 smoke from truck traffic and correlated to truck traffic
2 things so not just to general traffic, so that's another
3 piece of evidence.

4 CHAIRPERSON FROINES: I think the Brunekreef work
5 is important to emphasize and the adjuvant effects the
6 second.

7 SUPERVISING TOXICOLOGIST MARTY: The third
8 comment the that OEHHA incorrectly argues that diesel
9 exhaust particulate matter uniquely demonstrates enhanced
10 allergenicity. And that we cited a lot of David
11 Diaz-Sanchez's work, but while he does demonstrated some
12 response, there is little evidence to date to say that
13 diesel exhaust particulate matter is unique in the regard.

14 And the comment goes on to point out other
15 substances that enhance allergic end points such as
16 environmental tobacco smoke, vliage, phenat 3,
17 Benzo[a]pyrene and TCDD. And our response is that the
18 comment implies that we state other PM models do not
19 elicit immune modulatory responses, and, in fact, we make
20 no such generalizations.

21 We do make the point that diesel exhaust
22 particulate is not just a contributor to ambient PM 10 and
23 PM 2.5 and therefore to PM health effects, but that it is
24 also associated in this other body of literature with
25 enhanced allergenicity and that there's a considerable

1 body of evidence in that regard.

2 And then we go the point out that in some studies
3 neither carbon black nor Crystalline silica produced
4 responses. Although, in one study carbon black had some
5 immunomodulatory role, it was different than diesel
6 exhaust particulate.

7 And also it's a mistake to attribute the same
8 types of enhanced allergic end points to across the Board
9 the other PAHs an to TCDD, so it's not necessarily
10 globally attributable to all PAHs or to the AH receptor
11 lag based on toxicity information on those compounds.

12 And, yes, other things have in PM may exacerbate
13 asthma, but that doesn't mean that therefore diesel
14 exhaust does not.

15 And then there was a comment on the fact that we
16 didn't take into account the risk reduction plan to reduce
17 particulate matter emissions from diesel fueled engines in
18 vehicles. And in our view that's irrelevant to the
19 process that we're doing of listing health impacts -- or
20 listing TACs that have health Impacts on infants and
21 children. That's basically the gist of it.

22 PANEL MEMBER BLANC: Do you want to go on to the
23 next substance.

24 SUPERVISING TOXICOLOGIST MARTY: We can go on to
25 the next substance.

1 CHAIRPERSON FROINES: I was just waiting, because
2 I thought Michael was going to make a comment.

3 DR. LIPSETT: Okay. Well, this if the panel
4 wants to hear anything more. I was prepared to say a
5 little bit more about the adjuvants effects of diesel
6 exhaust on expression of allergy and these series of
7 studies that have been done. I don't know if you're
8 convinced already by the presentation and would rather
9 just, in the interests of time, move on or if you'd like
10 to take a few minutes to go over some of this.

11 PANEL MEMBER GLANTZ: I wouldn't mind hearing
12 some of it.

13 DR. LIPSETT: You would or would not.

14 PANEL MEMBER GLANTZ: I think it would be
15 helpful.

16 PANEL MEMBER FRIEDMAN: Excuse me. John has
17 already said he has got multiple studies. I would tend to
18 prefer moving on given the lateness of the hour. I don't
19 know, maybe we should vote on it.

20 PANEL MEMBER BLANC: Well, let me ask the same
21 question a different way. The material that you would be
22 prepared to present now will be included in the modified
23 version of the section that's the intent.

24 DR. LIPSETT: Yes.

25 PANEL MEMBER BLANC: And it expands on other

1 studies beyond the Diaz study?

2 DR. LIPSETT: Yes.

3 PANEL MEMBER BLANC: Are there any studies in
4 what you're going to present which would have looked at
5 adjuvants effects preferentially in younger versus older
6 test animals or humans?

7 DR. LIPSETT: Not in humans. And actually in the
8 test animals that would be for one of the toxicologists to
9 address. I'm not aware of any specifically that address
10 that.

11 CHAIRPERSON FROINES: My only question in terms
12 of resolving this is use the quickly as possible is are we
13 going the get something new between now and the next
14 meeting for the panel to look at? And if not, I'd like
15 Michael just to give us your point of view the panel has
16 some sense of what the issue is about. If we're going to
17 get something in writing then we can go ahead, but if not,
18 I think it might be useful to take less than five minutes
19 hopefully.

20 SUPERVISING TOXICOLOGIST MARTY: Why don't we
21 just have Michael five a five-minute overview.

22 CHAIRPERSON FROINES: Gary, do you mind?

23 PANEL MEMBER FRIEDMAN: That's fine, if it's
24 short like that.

25 CHAIRPERSON FROINES: I'm just worried that

1 between now and the next meeting if there's nothing that
2 we received, we'll be left with what we already have.

3 DR. LIPSETT: Okay, Melanie has already mentioned
4 this series of cross-sectional studies that suggest
5 increases in allergic rhinitis, wheeze, asthma in children
6 living near busy roads, particularly in instances where
7 there's self-reported high truck traffic.

8 In addition, in Japan there is a study that
9 suggests that people living on busy roads in urban
10 areas have a higher rate of allergy to cedar than in
11 people who live further away or in more rural areas. Now
12 as Gary and Stan and Paul and others recognize, these are
13 not necessarily causal because of their cross-sectional
14 nature you can't necessarily draw a causal inference, but
15 they're suggestive of relationships certainly between
16 diesel exhaust and the expression of allergy.

17 Now, with respect to childhood asthma, about 85
18 to 90 percent of it is related to allergy. And this whole
19 series of studies, not only the UCLA studies, but the ones
20 in Japan and the UK have shown a variety of effects on the
21 expression of allergy with diesel exhaust alone acting to
22 increase the expression much IgE, which is the allergy
23 specific antibody as well as IgG4. In both humans and
24 animals, you see a dose response kind of relationship,
25 with intranasal installation in humans and for a variety

1 of different methods of administration in animals.

2 Now, there's a very clear synergy also when
3 diesel exhaust is administered with allergen that you get
4 up to 16-fold greater expression of the allergen specific
5 IgE over that produced by exposure just to the allergen
6 alone. In addition to which, you see a, within say a
7 nasal lavage fluid, skewing of the cytokine profile that's
8 expressed to one that's very typical of allergy and away
9 from the sort of nonallergic cytokine profile that you see
10 either just with the expression -- or with administration
11 of allergen alone.

12 Now, in addition, diesel exhaust particles have
13 been administered in a controlled exposure study to human
14 volunteers in England and with some Scandinavian
15 investigators and show a very vigorous kind of
16 inflammatory response. And in animals that are exposed to
17 diesel exhaust through inhalation or installation on a
18 chronic basis, you see clear signs of a allergic
19 inflammation and bronchial hyper-responsiveness, both of
20 those things being hallmarks of allergic asthma.

21 So while none of these studies individually
22 would, you know, provide causal evidence that diesel is
23 responsible for causing allergy or asthma, they provide a
24 very compelling kind of picture that diesel exhaust
25 particles play a significant role in the enhancement of

1 the allergic response.

2 And again because allergy is so common in kids
3 and allergic asthma is what predominates in children, I
4 think these are a whole series of studies that would be
5 important the include in the next version of the document.

6 CHAIRPERSON FROINES: Thank you.

7 SUPERVISING TOXICOLOGIST MARTY: Okay.

8 CHAIRPERSON FROINES: We're going the stop at
9 4:00.

10 SUPERVISING TOXICOLOGIST MARTY: Okay, we have --

11 CHAIRPERSON FROINES: Pick the shortest one you
12 can.

13 PANEL MEMBER BLANC: By the way, you might also
14 want to mention, at least in passing in the section, that
15 allergic rhinitis not a trivial source of morbidity in the
16 population. So that even if one didn't develop lower
17 respiratory --

18 DR. LIPSETT: I'm sorry?

19 PANEL MEMBER BLANC: Even if one didn't develop
20 lower respiratory systems.

21 PANEL MEMBER FRIEDMAN: Are you referring to
22 prevalence or severity or for what?

23 PANEL MEMBER BLANC: Not on prevalence but
24 actually quality of life. I means it depends on how you
25 measure it. It doesn't result in hospitalization, but if

1 you look at other measures of health status, it's not
2 trivial.

3 CHAIRPERSON FROINES: Thanks, Michael.

4 DR. LIPSETT: Thank you.

5 SUPERVISING TOXICOLOGIST MARTY: We're going the
6 it's the fastest one left. Dr. Dave Morry is going to be
7 presenting the information.

8 DR. MORRY: I'm going the talk about why we
9 included vinyl chloride in the top 11, but in Tier 2
10 rather than in the top five.

11 (Thereupon and overhead presentation was
12 presented as follows.)

13 DR. MORRY: For vinyl chloride there strong Data
14 from animals that shows that exposures early in life
15 result in a higher tumor yield and also more DNA adducts
16 than exposures that occur later in life, that are given
17 later in life.

18 Vinyl chloride is a human carcinogen we know from
19 occupational studies. However, the exposures -- there are
20 not lot of ambient exposure to vinyl chloride, rather it's
21 a sort of a spot problem that occurs near hazardous waste
22 landfills and some other things like that.

23 So the third bullet up there is the reason why
24 it's not included in the top 5.

25 --o0o--

1 DR. MORRY: There is quite a few studies that
2 demonstrate differential effects of vinyl chloride. The
3 three I'm going the talk about are first of all the Drew
4 study of 1983, which is really the key study, and then
5 there's two by the late Maltoni and others from '81 and
6 '88 that I'll also discuss.

7 Next slide.

8 --o0o--

9 DR. MORRY: The key study is this one by Drew et
10 al., the effect of age and exposure duration on cancer
11 induction by a known carcinogen in rats mice and hamsters.

12 Next slide.

13 --o0o--

14 DR. MORRY: This was a study of vinyl chloride by
15 the inhalation route in rats, hamsters and two strains of
16 mice, of female mice. And the exposure levels were --
17 there was one exposure level for each species, 100 parts
18 per million by inhalation for the rats, 50 parts per
19 million for the mice, and 200 parts per million for the
20 hamsters.

21 --o0o--

22 DR. MORRY: Okay. The overall design of the
23 experiment was to test different scenarios of exposure.
24 So for each of the three species, they tested zero to six
25 months exposure, zero the 12 months, zero to 18 months.

1 For rats and hamsters only, they tested zero to 24 months
2 exposure. And then for all three species they studied six
3 to 12, six to 18, 12 to 18, 12 to 24 months. And then for
4 the rats and hamsters there was an exposure from 18 to 24
5 months.

6 Next slide.

7 --o0o--

8 DR. MORRY: Now, this was for the hamsters. And
9 if you look at the hemangiosarcomas, six months of
10 exposure produced 15 percent hemangiosarcomas, 14.8. And
11 exposing for 12 months actually resulted in a lower
12 percentage of hemangiosarcomas, probably because of
13 mortality. And so six months of exposure is sufficient to
14 produce all the yield of hemangiosarcomas.

15 It varies a little bit from one kind of tumor to
16 another. You notice that for the stomach adenomas, six
17 months of exposure resulted in 26 percent, and 12 months
18 of exposure resulted in only six percent. So pretty much
19 across the Board or a simple six-month exposure was
20 sufficient to produce a yield of tumors in hamsters.

21 Next slide.

22 PANEL MEMBER BLANC: Woe, woe, woe, woe, woe.

23 DR. MORRY: Okay, back to that slide.

24 PANEL MEMBER BLANC: That's not the question
25 you're asking whether six months the sufficient. What

1 you're making the argument is that exposure from zero to 6
2 months is more potent than exposure from six to 12 months.

3 DR. MORRY: Yeah, there's more data. That
4 particular slide doesn't compare -- this is only is only
5 zero to six, zero to 12 and zero to 18 but there are other
6 parts to the experiment.

7 PANEL MEMBER BLANC: This is not the part to the
8 experiment, therefore that you would argue is relevant to
9 the issue at hand?

10 DR. MORRY: Well, it's relevant in that it shows
11 that an exposure early in life is potent enough to produce
12 a full yield of tumors that you don't get more by exposing
13 longer, so it makes it look like that early period is the
14 key period.

15 PANEL MEMBER BLANC: But you just said that you
16 couldn't say what the mortality was in the animals or you
17 said that maybe it's because of increased mortality.

18 DR. MORRY: Well, I think that's reason it fell
19 off and the authors say that's the reason that the numbers
20 fell off from 14.8 down the 7.7. But they say that as
21 somewhat of a conjecture. They don't say that --

22 PANEL MEMBER BLANC: Do you they tell you how
23 many died?

24 DR. MORRY: I don't recall that that data is give
25 in the paper.

1 PANEL MEMBER BLANC: Well, if that's not given in
2 the paper, it's almost impossible to interpret the paper
3 isn't it, if you don't know the differential survival by
4 exposure group?

5 DR. MORRY: For this part of the experiment that
6 might be the case. I'd have to look at that in more
7 detail.

8 CHAIRPERSON FROINES: Do they give the actual
9 numbers of animals at each site?

10 DR. MORRY: Yeah. There's 50 some animals in
11 each group.

12 CHAIRPERSON FROINES: Do they give the survival?

13 DR. MORRY: I think so. I'm not sure.

14 PANEL MEMBER BLANC: I guess we'll wait till you
15 finish for this paper and then we can figure out whether
16 we can say anything about this paper.

17 PANEL MEMBER FRIEDMAN: It just seems surprising
18 that zero the 12 months on the last slide produced less
19 tumors than zero to six months.

20 DR. MORRY: Yes.

21 PANEL MEMBER FRIEDMAN: Or that zero the 18 --
22 there was a another column that showed zero to 18 less
23 than same of zero to 12. And it just didn't make sense.
24 Those number just didn't seem to make sense.

25 DR. MORRY: Yeah, that's the percentage of

1 animals with those tumors.

2 --o0o--

3 DR. MORRY: Okay. So this one is for the mice.
4 And there's two strains. And, again, this is looking at
5 zero to six, 12 and 18 months. And so the zero to six
6 month produced almost the same tumor yield as zero to 12
7 months for the hemangiosarcomas. And likewise for the
8 mammary gland carcinomas in the B6C3F1 mice.

9 And in the Swiss mice also zero the six months
10 produced 43 percent hemangiosarcomas. And then longer
11 exposure didn't really increase the number of
12 hemangiosarcomas very much, so most of the induction of
13 tumors occurs in the first six months of exposure.

14 Next slide.

15 --o0o--

16 PANEL MEMBER BYUS: Were these all sacrificed at
17 the same time? Do you see what mean, there was six months
18 of exposure, but were they sacrificed at 24 months or were
19 they sacrificed after six months?

20 DR. MORRY: Well, the first slide of the plan of
21 the experiment showed that they were held until the end of
22 the experiment.

23 PANEL MEMBER BYUS: Okay.

24 DR. MORRY: So they were all sacrificed at the
25 end of 24 months.

1 Okay, so this for female rats administered vinyl
2 chloride. And you see that here what we have is an
3 exposure zero the 12 months and then another 12 months
4 exposure starting at six months, six to 18. And so you
5 get a high yield of mammary adenocarcinomas and liver
6 hemangiosarcomas, if you expose for the first 12 months of
7 the animal's life.

8 But if you exposure for 12 months starting at six
9 months, the yield of those tumors goes down. And then if
10 you expose for 18 to 24 months, it goes down even more.

11 Next slide.

12 --o0o--

13 DR. MORRY: This is for hamsters. And, again,
14 this is 12 months exposure yields a higher yield of each
15 of these three kinds of tumors than the 12-month exposure
16 if you start at six months. And it goes down even more if
17 you go 12 to 24 months. So this is taking the same length
18 of exposure, but moving it long in the lifetime of the
19 animal. And if you give the exposure early in life, it's
20 very effective. If you start it later, it's less
21 effective. And then if you start it even later, the
22 effect the very small. So I think this data is more
23 relevant to our question than the first data that I
24 showed.

25 PANEL MEMBER BLANC: It is more relevant if you,

1 assuming that you would adjust for length of follow up.
2 And the question that you're asking is if I have the same
3 amount of follow up does the dose given earlier induce a
4 bigger burden of tumor adjusted for length of follow up
5 since you would expect that the incidence of the tumors in
6 question will go up with the factor of follow up. It
7 actually won't be linear but rather probably the square of
8 time or something.

9 So unless you've gone back and looked at the data
10 or the data were presented in that way, since your entire
11 argument on vinyl chloride rests on arguing that it's not
12 shelf life, but it's rather very specifically that even
13 taking follow up into account, the carcinogenic potency of
14 vinyl chloride the greater with exposure in young age than
15 at an older age, even taking length of follow up into
16 account, which I can't say based on animals who are
17 sacrificed at 24 months, I assume.

18 DR. MORRY: Yes. They are sacrificed at 24
19 months.

20 PANEL MEMBER BLANC: In other words, I need to
21 see -- for example, I'd need to see a study where rats
22 were exposed from zero to six months and sacrificed at 12
23 months compared to animals that were exposed from six
24 months the 12 months and sacrificed at 18 months and so
25 forth.

1 DR. MORRY: Well, I don't think we want to argue
2 that shelf life isn't part of the reason for this. The
3 animals that are exposed from zero to 12 months do have a
4 longer time to develop their tumors than the animals that
5 are exposed from six to 18 months, so that could be part
6 of the reason why you see more tumors.

7 PANEL MEMBER BLANC: Well, have you tried to --
8 in fact that wasn't the argument. The argument that you
9 made was it wasn't just shelf life. The argument that you
10 made, at least in the initial overall presentation, was
11 vinyl chloride. We chose vinyl chloride because it wasn't
12 just shelf life. We know that's a generic issue you could
13 make with any carcinogen, but for vinyl chloride there was
14 specific data suggesting that taking shelf life into
15 account, young animals were more susceptible over and
16 above that.

17 DR. MORRY: Well --

18 PANEL MEMBER BLANC: Based on this one study.

19 DR. MORRY: -- we think the shelf life argument,
20 if it's a valid argument, applies to any genotoxic
21 Carcinogen, whether you have data that shows that's
22 effective early in life or not. For this chemical,
23 there's data in animals that shows that the chemical is
24 more effective when exposures occur early in life.

25 PANEL MEMBER BLANC: Over and above shelf life?

1 DR. MORRY: I didn't say that.

2 SUPERVISING TOXICOLOGIST MARTY: It's
3 intertwined. I'm not sure you can actually separate that.

4 PANEL MEMBER FUCALORO: Why can't you? I think
5 if you don't, say from zero to 12 months and then at -- I
6 don't know, six months later -- then maybe the best way
7 zero to six months then 18 months. In other words, give
8 the length of time the same after each exposure.

9 DR. MORRY: Well, the animals are getting -- if
10 you give -- you can't do that for animals that are exposed
11 say 12 to 24 months, because then you'd have to give them
12 like another 12 months and they're getting much older.

13 PANEL MEMBER BLANC: Well, you could sacrifice
14 these zero the 12 months at the end of 12 months.

15 DR. MORRY: Or you can record the data of the
16 tumor incidents at that period of time.

17 PANEL MEMBER FUCALORO: Right.

18 DR. MORRY: I don't think the purpose of this
19 experiment was to ferret out shelf life versus other
20 effects. And we're not trying the use it for that
21 purpose. We're just saying that there's more evidence
22 here than simply the generic argument of shelf life.

23 PANEL MEMBER BLANC: You're saying that you have
24 a study that established shelf life exists.

25 DR. MORRY: No, I don't think so, but --

1 SUPERVISING TOXICOLOGIST: The shelf life is a
2 theoretical consideration. And it's Based on the model of
3 cancer which increases the third power of age. So if
4 you're living a lot longer, you've got more third powers
5 of age to go through.

6 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF
7 SALMON: There's a couple of issues here. And, in fact,
8 Jim Coliano of US EPA has done, I think at times, a tumor
9 analysis of this experiment. And I think if you -- he
10 presented this, you know, orally to us at one point. And
11 my recollection is that he showed both the quote unquote
12 "shelf life effect." In other words --

13 PANEL MEMBER BLANC: Latency survival.

14 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF
15 SALMON: However you want to call it. But he also, I
16 think, demonstrated an increase in underlying potency at
17 the earlier ages. Now, that's something which may be, if
18 we are going to take the opportunity to analyze this a
19 lot further, we should perhaps dig that out.

20 But I think the point is that there is both the
21 underlying latency consideration and the question of
22 what's the potency at a particular age. And without vinyl
23 chloride appears to be a case where both apply.

24 PANEL MEMBER BLANC: Well, to the extent that
25 you're able to make the latter argument, I believe that it

1 would be a more convincing argument to consider this
2 substance as having deferential effect on children. My
3 scientific review would be that the fact that children
4 survive longer to develop their tumors and ergo carcinogen
5 in children the more important and we have, you know, a
6 lab study which shows that the effect of survival long
7 enough the get the tumors with chemical X has been shown
8 and, you know, what in the rats species X, Y or Z.

9 That the not going to be convincing to me to move
10 something up relative in terms of a prioritization. I
11 suppose if you had information which supported an
12 interpretation of these data which showed that you could
13 tease out an exposure sensitivity effect in childhood that
14 might be more convincing, and then I would have to weigh
15 it against other issues like, you know, how much exposure
16 is there in all those other things.

17 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF
18 SALMON: The observation of the latency effect tends to
19 imply that we should regard, perhaps all carcinogens as
20 potentially having a greater impact on children, but that
21 it doesn't prioritize between carcinogens.

22 Whereas, the possible oxidation of increased
23 potency at younger age of exposure tends to argue that we
24 should prioritize this particular carcinogen versus other
25 carcinogens in other words.

1 PANEL MEMBER BLANC: Yes, that is what I said.
2 But I don't believe that this presentation suspends my
3 disbelief in that regard. And although you may have heard
4 an oral presentation of the EPA which reinterpreted this
5 data in some way that would support that, this presentation
6 itself or the paper on the face of it, from what you've
7 said, doesn't.

8 And I'm sorry if I misinterpreted your earlier
9 statements at the last meeting to suggest that there was,
10 in fact, potency data here.

11 DR. MORRY: We also, in the case of this
12 chemical, we have more evidence for a differential effect
13 to children than we have for most genotoxic carcinogens
14 because for most genotoxic carcinogens we don't have this
15 kind of experiment where the exposures are done at
16 different ages, and where the age of exposure is compared.

17 DR. MORRY: Why don't we skip through to the
18 Maltoni studies.

19 Okay, this study was published in 1981, bioassay
20 of vinyl chloride monomer --

21 CHAIRPERSON FROINES: I think it would be helpful
22 to send the study to the panel. I think given what's
23 presented --

24 The Drew study.

25 CHAIRPERSON FROINES: I don't think we can really

1 understand what happened with what we have so far.

2 DR. MORRY: Okay.

3 CHAIRPERSON FROINES: Unless I'm badly mistaken.

4 DR. MORRY: Okay. The 1981 study was a huge
5 complex experiment with 7,000 animals. And they tested
6 different species rat, mouse and hamster and different
7 strains, different routes of exposure, inhalation, oral
8 and concentrations ranging all the way from 1,000 to
9 30,000 parts per million, and they also tested different
10 schedules of treatment.

11 Next slide.

12 --o0o--

13 DR. MORRY: From this study they said that vinyl
14 chloride was carcinogenic in the animals by inhalation and
15 by ingestion. That the duration of treatment and the
16 schedule greatly affected the neoplastic response that was
17 seen in the animals. Species, strain and sex also greatly
18 affected the response.

19 They concluded that newborn animals appeared to
20 be extremely responsive and to easily develop liver
21 tumors, both hepatocarcinomas and angiosarcomas. And also
22 they showed that vinyl chloride produced carcinogenic
23 effects on embryos via the placenta when they were expose
24 in uterine -- when the mothers were exposed while the
25 animals were in utero.

1 PANEL MEMBER FUCALORO: Now, the fourth bullet
2 item would be what the standard we're looking for
3 essentially to me, that younger, younger animals are more
4 susceptible, right?

5 SUPERVISING TOXICOLOGIST MARTY: Yes.

6 PANEL MEMBER FUCALORO: Isn't that right?

7 Now, are you saying newborn animals appear, of
8 course that's a hedge word that makes me uneasy --

9 DR. MORRY: Well, it's a quotation from the
10 conclusion.

11 PANEL MEMBER FUCALORO: Understood. Not that
12 you're making it, appeared to be extremely responsive.
13 Did the data show that? They must. I mean, I would
14 guess, wouldn't they?

15 PANEL MEMBER BLANC: Well, in your read of the
16 paper, did the show that?

17 DR. MORRY: Yes, uh-huh.

18 PANEL MEMBER BLANC: So your next slide is the
19 data that support that.

20 DR. MORRY: We don't have slides on the data from
21 this paper. It's a huge paper and we concentrated mainly
22 the Drew paper.

23 PANEL MEMBER BLANC: Would you say the quality of
24 the data from this study are better the quality of the
25 drew data?

1 DR. MORRY: There's more, you know, animals, more
2 different kinds of exposures, and also they looked at in
3 utero exposures, which the Drew experiment did not look
4 at, so they looked at a much greater variety of factors.

5 PANEL MEMBER BLANC: Did they seem to have a data
6 analysis that could take into account both latency and
7 period of exposure and adjust for latency?

8 DR. MORRY: I'll have to look at it in more
9 detail to answer that question confidently.

10 --o0o--

11 DR. MORRY: And the paper by Maltoni and Cotti
12 1988. This was carcinogenicity of vinyl chloride
13 Sprague-Dawley rats after prenatal and postnatal exposure
14 was done by inhalation seven hours a day five days a week
15 at just two doses 2,500 and control, no exposure. The
16 animals were exposed for 13-week old breeders and male --
17 they exposed 13-week old breeders and mail and female
18 offspring. So the offspring were 12-day embryos. Yes,
19 gestation date 12. And they were exposed for 15 or 104
20 weeks.

21 Next slide.

22 --o0o--

23 DR. MORRY: In this experiment the
24 hepatocarcinomas in male and female rats exposed as
25 embryos was 51.2 percent compared to only 9.2 percent in

1 adults. And there were no hepatocarcinomas in the
2 unexposed controls.

3 And the angiosarcomas were 64.6 percent in the
4 exposed embryos and only 50 percent in the exposed adults.
5 The latency period was shorter for the embryos than for
6 the adults.

7 So the onset of neuroblastoma is affected by the
8 length of treatment, the onset of hepatocarcinoma was
9 affected by the age at the start and the onset of
10 angiocarcinoma was affected by both the length of
11 treatment and the age.

12 Next slide, please.

13 --o0o--

14 PANEL MEMBER FUCALORO: I mean that's the data.
15 I mean that's the data which supports the differentiation.

16 DR. MORRY: So our overall conclusions for vinyl
17 chloride is that embryos in young animals are more
18 sensitive to carcinogenic effects of vinyl chloride than
19 are adults. And from other experiments, other papers, we
20 have the information that young animals are more sensitive
21 to DNA adduct formation by vinyl chloride than are adults,
22 several fold more sensitive, six-fold in one experiment.

23 And animal experiments strongly indicate that
24 infants and children would be more sensitive to the
25 carcinogen effects of vinyl chloride, based on both the

1 carcinogenicity studies and the adduct studies.

2 SUPERVISING TOXICOLOGIST MARTY: We actually have
3 Covlianos paper in here and cite his paper which was a
4 quantitative cancer assessment, where he looked at the
5 time to tumor model, and so he could account for the
6 effects of latency versus time at sacrifice.

7 PANEL MEMBER BLANC: Is this is guy from the EPA
8 that you referred to?

9 SUPERVISING TOXICOLOGIST MARTY: Yeah, right.

10 PANEL MEMBER BLANC: What are the other ones that
11 you have left the present, obviously not today, but what
12 haven't we heard?

13 SUPERVISING TOXICOLOGIST MARTY: We haven't heard
14 glycol ethers and you haven't heard the dioxins in PCBs.

15 PANEL MEMBER BLANC: Which are together?

16 SUPERVISING TOXICOLOGIST MARTY: The Dioxins and
17 the dioxin like PCBs are in one presentation and then the
18 noncoplanar PCBs are in another because it's a different
19 toxin.

20 PANEL MEMBER BLANC: So you have three
21 presentation still.

22 SUPERVISING TOXICOLOGIST MARTY: Right.

23 PANEL MEMBER GLANTZ: Plus the ones that you and
24 John added.

25 CHAIRPERSON FROINES: I think we'll determine

1 that based on what they come up with.

2 Gary.

3 PANEL MEMBER FRIEDMAN: Could you just say
4 briefly how kids would get exposed to vinyl chloride. I
5 know there was concern about workers and, in fact, there's
6 -- but how do kids get exposed to it.

7 SUPERVISING TOXICOLOGIST MARTY: Through
8 Exposures from hotspot sources. So stationary sources
9 that emitted vinyl chloride, for example, a polyvinyl
10 chloride manufacturer or if you lived near a big old
11 landfill. Vinyl chloride comes off landfills because it's
12 a microbial degradation product of a number of things.

13 But overall the reason it's in Tier 2 is because
14 we don't think that there are huge exposures. It's
15 certainly not a concern on a regional basis.

16 CHAIRPERSON FROINES: We're about to lose a
17 quorum. Paul, what was the purpose of your --

18 PANEL MEMBER BLANC: Well, my practical
19 suggestion would be that you circulate to us some
20 suggestions on how you want to handle the next steps of
21 the next meeting in terms of a procedure, because it
22 alludes me how, exactly, we're going to --

23 CHAIRPERSON FROINES: All right. That was the
24 question earlier that I think that we need to define well
25 in advance how we're going to proceed to draw this to

1 closure at the next meeting.

2 PANEL MEMBER BLANC: I will say, overall, that I
3 don't think that the oral presentations of each and every
4 chemical have been particularly illuminating, overall. I
5 mean, the sort of step by step ones. It's been sort of
6 uneven, and a lot of times throws into confusion that
7 which was, I thought, straightforward previously.

8 So maybe we need to think for the remaining
9 three ones and for the ones that we've added how we want
10 to handle the discussion. And it may not be by this sort
11 of linear presentation of the section with slides. So
12 that would be my question to you.

13 CHAIRPERSON FROINES: Yeah, we're going to have
14 to -- you're going to have to -- we're asking for some
15 additional new chemicals, but you're going to have to give
16 us some heads up in advance as to whether or not there is
17 sufficient evidence to bring them before the panel. I
18 don't think we want to go -- we listed about ten
19 chemicals, I think,

20 PANEL MEMBER BLANC: No, five.

21 CHAIRPERSON FROINES: No, by the time you and I
22 finished it was closer to ten, I think.

23 PANEL MEMBER BLANC: No, there were some that you
24 wanted them to recheck, but there were some --

25 CHAIRPERSON FROINES: I know.

1 PANEL MEMBER BLANC: But you're counting those?

2 CHAIRPERSON FROINES: Yeah, I'm counting those
3 for the sake of the first cut. So that, as a result,
4 we'll need to know very soon about the level of evidence
5 for the compounds and, you know, in my cases you may be
6 able to dismiss them very quickly. And the couple of the
7 others like methylene chloride and manganese, it's going
8 to be obviously more difficult.

9 So we're going the need get a heads up in the
10 next week or two of what we can plan for the next meeting.

11 PANEL MEMBER BLANC: This is an important point
12 of clarification John. You're actually saying something
13 different than what we said before. What we said before
14 was that the ones that -- I did give them a discrete group
15 of ones that I wanted to see the sections on. There were
16 several other additional ones, which we said we didn't
17 need the see the summary sections on, but we did want them
18 to recheck their references and double check a few things,
19 but that unless something emerged, and it was at their
20 discretion, we were not expecting to see summary toxicity
21 review of.

22 But I am expecting to see the summary toxicity
23 reviews of the ones that I mentioned, and those were only
24 about four or five, I think.

25 SUPERVISING TOXICOLOGIST MARTY: I had six.

1 PANEL MEMBER BLANC: Six. So I just wanted to
2 make sure that they're not --

3 CHAIRPERSON FROINES: What I'm worried about,
4 Paul, is that I'm trying to get it so we make a judgment
5 ahead of time about how many of those six of yours we need
6 the actually have presentations at this meeting.

7 PANEL MEMBER BLANC: That's a different question.
8 I need the see documents for all them.

9 CHAIRPERSON FROINES: We'll work on that level of
10 communication, because if we can avoid, we should only
11 have presentations on those that are --

12 PANEL MEMBER GLANTZ: Serious contenders.

13 CHAIRPERSON FROINES: -- quite serious.
14 Otherwise, we'll end up getting documents that's
15 literature reviews, but not necessarily have presentation.

16 We don't have a quorum, so we move the close.

17 Thank you very much.

18 (Thereupon the Scientific Review Panel
19 meeting adjourned at 4:05 p.m.)

20

21

22

23

24

25

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

CERTIFICATE OF REPORTER

I, JAMES F. PETERS, a Certified Shorthand Reporter of the State of California, and Registered Professional Reporter, do hereby certify:

That I am a disinterested person herein; that the foregoing Scientific Review Panel hearing was reported in shorthand by me, James F. Peters, a Certified Shorthand Reporter of the State of California, and thereafter transcribed into typewriting.

I further certify that I am not of counsel or attorney for any of the parties to said hearing nor in any way interested in the outcome of said hearing.

IN WITNESS WHEREOF, I have hereunto set my hand this 21st day of May, 2001.

JAMES F. PETERS, CSR, RPR
Certified Shorthand Reporter
License No. 10063

□