

MEETING
STATE OF CALIFORNIA
AIR RESOURCES BOARD
SCIENTIFIC REVIEW PANEL

UNIVERSITY OF SAN FRANCISCO
MILBERRY CONFERENCE CENTER
500 PARNASSUS AVENUE
GOLDEN GATE ROOM/CITY LIGHTS ROOM
SAN FRANCISCO, CALIFORNIA

THURSDAY, JANUARY 11, 2007

9:30 A.M.

JAMES F. PETERS, CSR, RPR
CERTIFIED SHORTHAND REPORTER
LICENSE NUMBER 10063

PETERS SHORTHAND REPORTING CORPORATION (916) 362-2345

APPEARANCES

PANEL MEMBERS

Dr. John Froines, Chairperson

Dr. Roger Atkinson

Dr. Paul Blanc

Dr. Craig Byus

Dr. Gary Friedman

Dr. Stanton Glantz

Dr. Katharine Hammond

Dr. Joseph Landolph

Dr. Charles Plopper

REPRESENTING THE AIR RESOURCES BOARD:

Mr. Lyn Baker, Air Pollution Specialist

Mr. Robert Barham, Assistant Division Chief, Stationary
Source Division

Mr. Jim Behrmann, Liaison, SRP

Ms. Janette Brooks, Chief, Air Quality Measures Branch

Mr. Peter Mathews

REPRESENTING THE DEPARTMENT OF PESTICIDE REGULATION:

Ms. Tobi L. Jones, Assistant Director

Ms. Carolyn Lewis, Associate Toxicologist

Mr. Randal Segawa, Agriculture Program Supervisor

PETERS SHORTHAND REPORTING CORPORATION (916) 362-2345

APPEARANCES CONTINUED

REPRESENTING THE OFFICE OF ENVIRONMENTAL HEALTH HAZARD
ASSESSMENT

Dr. Melanie Marty, Manager, Air Toxicology and
Epidemiology Section

Mr. Andrew Salmon, Ph.D, Chief, Air Toxicology and Risk
Assessment Unit

PETERS SHORTHAND REPORTING CORPORATION (916) 362-2345

INDEX

| | PAGE |
|---|------|
| 1. Review of the draft report, "Methidathion (Supracide®) Risk Characterization Document," revised November 2006. | 1 |
| 2. Briefing on the Department of Pesticide Regulation's new air quality initiative. | 167 |
| 3. Update to the Panel on upcoming reports to be reviewed by the Panel. | 94 |
| 4. Consideration of administrative matters. | 188 |
| Adjournment | 196 |
| Reporter's Certificate | 197 |

1 PROCEEDINGS

2 CHAIRPERSON FROINES: I would like to call to
3 order the Scientific Review Panel on Toxic Air
4 Contaminants for the meeting dated January 11th, 2007.
5 And the entire Panel is here. All members are in
6 attendance.

7 And the first topic on the agenda is the review
8 of the draft report on Methidathion, the Risk
9 Characterization Document that was revised on November
10 2006.

11 And I think to get started -- first, Peter, have
12 you circulated the findings, draft findings?

13 MR. MATTHEWS: Not yet.

14 CHAIRPERSON FROINES: Would you please do that?

15 No, in writing, to avoid people bringing their
16 computers up and...

17 We've received comments from -- I've
18 received -- I've seen comments from Dr. Friedman. I
19 understand Roger had some comments as well. But there
20 may be others.

21 So to get us started I think the first person to
22 speak will be Carolyn Lewis from Department of Pesticide
23 Regulation, who's going to tell us about changes --
24 correct me if I'm wrong, Carolyn -- changes that have
25 occurred since the last meeting basically.

1 (Thereupon an overhead presentation was
2 Presented as follows.)

3 DPR ASSOCIATE TOXICOLOGIST LEWIS: Yes. I'm only
4 going to cover the changes to the health risk
5 assessment --

6 CHAIRPERSON FROINES: Put your mike closer.

7 DPR ASSOCIATE TOXICOLOGIST LEWIS: Is that
8 better?

9 CHAIRPERSON FROINES: Yes.

10 DPR ASSOCIATE TOXICOLOGIST LEWIS: Okay. My
11 presentation today, I'm just going to cover the revisions
12 to the health risk assessment that were made since the
13 last presentation. And I'm going to go through these in
14 the order that they appear in the document.

15 Okay. Next slide.

16 --o0o--

17 DPR ASSOCIATE TOXICOLOGIST LEWIS: In the
18 toxicology profile, an older metabolism study was added.
19 In this study they labeled Methidathion with P32 as well
20 as C14. The findings from this study supported the
21 findings of the more recent metabolism studies as far as
22 the fate of the leaving group. It also provided
23 additional information regarding the fate of the phosphate
24 moiety. So the proposed metabolic pathway for
25 Methidathion was changed to include the metabolism of the

1 phosphate moiety, which you can see here on the right here
2 now in the metabolic pathway.

3 Next slide.

4 CHAIRPERSON FROINES: I just wanted to mention
5 that Gary Friedman, his comment asked about my putting the
6 word electrophilic chemistry in. And if you go back to
7 that slide.

8 --o0o--

9 CHAIRPERSON FROINES: There are compounds there
10 that will readily react with macro molecules, namely, thio
11 groups on proteins. And so that's the -- and form
12 irreversible covalent bonds. And so this is a very
13 interesting and important addition because it suggests
14 that there's a complex metabolism that is still under
15 investigation.

16 Is that fair, Carolyn?

17 DPR ASSOCIATE TOXICOLOGIST LEWIS: Yes.

18 --o0o--

19 DPR ASSOCIATE TOXICOLOGIST LEWIS: As requested
20 by the Panel, a table was added to the toxicology profile
21 showing the incidents of the liver tumors in the mouse
22 carcinogenicity study, which was not acceptable by FIFRA
23 guidelines.

24 In addition to the incidents of the liver tumors
25 I added the incidents of non-neoplastic lesions in the

1 liver that were also elevated. And as you can see, that
2 most of these lesions involved the bile duct.

3 The incidents of both the neoplastic and the
4 non-neoplastic lesions was lower in this study than in the
5 mouse carcinogenicity study that was found acceptable.

6 CHAIRPERSON FROINES: Did they report data on
7 pancreatic cancers as well?

8 DPR ASSOCIATE TOXICOLOGIST LEWIS: I don't recall
9 that they were elevated in this study.

10 CHAIRPERSON FROINES: It's just that there's a
11 possibility when you go from the bile duct and the liver
12 to the pancreas that it would be worth --

13 DPR ASSOCIATE TOXICOLOGIST LEWIS: I could look
14 at that again --

15 CHAIRPERSON FROINES: It's not important.

16 DPR ASSOCIATE TOXICOLOGIST LEWIS: -- but I
17 didn't.

18 CHAIRPERSON FROINES: It's not important. It's
19 just a curiosity.

20 --o0o--

21 DPR ASSOCIATE TOXICOLOGIST LEWIS: If you recall
22 from the last draft, the acute neurotoxicity study in rats
23 was selected as the definitive study for evaluating acute
24 exposure to Methidathion. The problem with the study was
25 a NOEL was not observed in this study due to statistically

1 significant inhibition in the cerebral cortex of males at
2 the lowest dose level.

3 I estimated a NOEL by dividing by an uncertainty
4 factor of 3 rather than 10, because the inhibition was
5 only seen in one sex and one region and the females
6 appeared to be more sensitive at higher dose levels.

7 Also, if I had estimated the NOEL by dividing by
8 10, it would result in an acute NOEL that was lower than
9 the subchronic NOEL for the same endpoint.

10 The Panel suggested that I do a benchmark dose
11 analysis instead to estimate acute NOEL.

12 --o0o--

13 DPR ASSOCIATE TOXICOLOGIST LEWIS: Now, one of
14 the problems with doing a benchmark dose analysis on
15 continuous data is you need to set a threshold for
16 toxicological significance. The U.S. EPA used a benchmark
17 response level of 10 percent inhibition when it did its
18 cumulative risk assessment for OPs. However, this was
19 applied to whole brain data.

20 This graph shows the coefficient of variation for
21 a cholinesterase activity in the whole brain of control
22 rats in various acute and subchronic neurotoxicity studies
23 that have been submitted to DPR.

24 And on the left-hand side you'll see the acute
25 studies with the time of measurement indicated in days.

1 And on the right-hand side are the subchronic studies with
2 the time of measurement indicated in weeks.

3 And as you -- oh, and for those who are not
4 familiar with a coefficient of variation, that is the
5 standard deviation divided by the mean times 100, and is
6 often a measure of normal variation.

7 As you can see, most of the the CVs are below 10
8 percent, suggesting that a level of 10 percent inhibition
9 is a reasonable threshold for whole brain data.

10 --o0o--

11 DPR ASSOCIATE TOXICOLOGIST LEWIS: This is a
12 graph of the CVs for the cholinesterase activity in the
13 cortex of control rats. And as before, the acute studies
14 are on the left and the subchronics are on the right.

15 And I should point out that Methidathion acute
16 study is here and the subchronic study is over here.

17 And you'll notice that there are more data points
18 with the cortex. And the reason for that is usually when
19 they measured regional brain cholinesterase activity, they
20 measured it at more than one time point. So most of these
21 studies had at least two to four time points in which they
22 looked at the activity in the cortex.

23 As you can see from this graph, there were a
24 number of incidences when the CVs were greater than 10
25 percent. So 10 percent seems like it may be too low of a

1 threshold when looking at the cortex. And I've only shown
2 this graph of the cortex. I have similar ones for other
3 regions. And the type of variation they saw in the other
4 regions is very similar to what you see here.

5 --o0o--

6 DPR ASSOCIATE TOXICOLOGIST LEWIS: So when I did
7 the benchmark dose analysis for Methidathion, I looked not
8 only at the 10 percent response level but also the 15 and
9 20 percent response level. And I also looked not just at
10 the cortex in the acute study but also at the various
11 regions that were measured in the subchronic study.

12 One of the requests of the Panel was that DPR
13 work with OEHHA to come to some agreement on the acute
14 NOEL. And so we met and discussed this benchmark dose
15 analysis. Unfortunately we weren't able to agree on a
16 threshold to use. I then suggested as an alternative was
17 to use the observed NOEL at two weeks in the 90-day study,
18 which was based on statistically significant inhibition in
19 the cortex of males.

20 And, by the way, this NOEL corresponded to a
21 benchmark response of 15 percent.

22 Next slide.

23 --o0o--

24 PANEL MEMBER GLANTZ: Can I ask a question?

25 DPR ASSOCIATE TOXICOLOGIST LEWIS: Yes.

1 PANEL MEMBER BLANC: Use the microphone.

2 PANEL MEMBER GLANTZ: Is that okay?

3 CHAIRPERSON FROINES: No, please interrupt. This
4 is the most important.

5 PANEL MEMBER GLANTZ: Yeah, this is one point I
6 was -- I missed the previous meeting where this was
7 discussed. But this was the one thing I was confused
8 about in the report. And there are two related questions.

9 One is, when you -- you say you use a coefficient
10 of variation of 10 percent as the threshold for the
11 effect. I don't quite understand if you -- does that mean
12 that you're saying if you're 10 percent below the mean --
13 pardon me -- if you're one standard -- that would mean you
14 were like one standard deviation away from the mean. So
15 you're saying that if you had an effect that was one
16 standard deviation from the mean response, that's what you
17 would consider to be a threshold? I don't quite
18 understand how the 10 percent coefficient of variation
19 then relates to a dose.

20 DPR ASSOCIATE TOXICOLOGIST LEWIS: Well, I mean
21 when you measure inhibition in these studies, it's
22 all -- it's activity relative to the controls. So it's
23 just another way of looking at deviation from control
24 activity. And so I -- to me it was just trying to put a
25 handle on how much normal variation you see in the

1 activity and trying to use it as some way of setting the
2 threshold. I'm not saying there's --

3 PANEL MEMBER GLANTZ: No, I'm not criticizing the
4 use of it. I'm asking about precisely how you used it.
5 Because it seems -- and I mean I may be completely wrong
6 here. But just listening to you, it seems to me that if
7 the -- if the coefficient of variation is 10 percent, that
8 means the standard deviation is 10 percent of the mean.

9 DPR ASSOCIATE TOXICOLOGIST LEWIS: Yes.

10 PANEL MEMBER GLANTZ: Okay. So what you're
11 saying then is if you get a change from the controls of 10
12 percent, then you're one standard deviation below the
13 mean -- or above -- I guess it would be above the mean,
14 and that's where you're putting your -- you're saying,
15 okay, that's the -- is that what your doing?

16 DPR ASSOCIATE TOXICOLOGIST LEWIS: Yeah, I
17 think -- yeah, yeah, yeah.

18 PANEL MEMBER GLANTZ: Okay. Well, then is that
19 far enough? Or why would you -- what's magic about one
20 standard deviation?

21 DPR ASSOCIATE TOXICOLOGIST LEWIS: There's
22 nothing magic. I mean it's just -- you know, that's the
23 problem with this trying to set a threshold. You know,
24 one's comfort level varies from one person to the next.
25 So it's just how do decide when you've gone high enough

1 for --

2 PANEL MEMBER GLANTZ: Right. But what -- I mean
3 in practical terms, if you --

4 DPR ASSOCIATE TOXICOLOGIST LEWIS: Or low enough
5 or --

6 PANEL MEMBER GLANTZ: Well, but no. Let's assume
7 that you're 10 percent number is the right number. Could
8 you explain to me why it would make sense to set the
9 threshold one standard deviation above the mean response
10 in the controls? I mean What would that mean in
11 practical -- what fraction of the people who are exposed
12 are going to be above that? Is that a sensible question
13 to ask?

14 DPR ASSOCIATE TOXICOLOGIST LEWIS: Well, in this
15 case we were actually looking at people whose activity
16 would be below --

17 PANEL MEMBER GLANTZ: I'm sorry, below -- I'm
18 sorry. Yeah, below that.

19 DPR ASSOCIATE TOXICOLOGIST LEWIS: -- or animals
20 in this case.

21 PANEL MEMBER GLANTZ: Right, right.

22 What is -- I mean I couldn't figure out what that
23 meant in real biological terms.

24 DPR ASSOCIATE TOXICOLOGIST LEWIS: Well, I guess
25 I -- it was more if -- you know, if it's one standard

1 deviation, what's that, like 65 percent or something, of
2 the population, you know, should be, you know, have
3 activity that's greater than that threshold. And so if
4 you're down below there, then you're starting to get
5 outside of what someone might consider normal activity.

6 PANEL MEMBER GLANTZ: All right. So that -- and
7 then I have -- did you want to say something, Kathy? I
8 have another related question.

9 PANEL MEMBER HAMMOND: This is related to that.

10 And, again, I apologize, because I missed the
11 last meeting too. So I'm trying to interpret what you've
12 said.

13 Let's just say the mean was 150. And if there's
14 a 10 percent CV, that means the standard deviation was 15,
15 right?

16 DPR ASSOCIATE TOXICOLOGIST LEWIS: Um-hmm.

17 PANEL MEMBER HAMMOND: So are you
18 saying -- you're not saying you set the benchmark dose at
19 135. It must be you're -- this is the mean of the
20 response, right, of the ACE levels, right?

21 PANEL MEMBER GLANTZ: Isn't that the mean of the
22 controls?

23 PANEL MEMBER HAMMOND: The mean of the
24 controls -- but the ACE level in the controls, is that
25 right? We're talking --

1 DPR ASSOCIATE TOXICOLOGIST LEWIS: Yeah,
2 that's --

3 PANEL MEMBER HAMMOND: So are we saying -- are
4 you saying the benchmark dose is the dose which will give
5 you 135 if you make a linear plot of the values that were
6 there?

7 DPR ASSOCIATE TOXICOLOGIST LEWIS: Well, actually
8 where you take that 10 percent or 15 percent, whatever you
9 use, is you just plug that into the software, as this is
10 the response --

11 PANEL MEMBER HAMMOND: Yeah, but what is the
12 software doing with that number?

13 DPR ASSOCIATE TOXICOLOGIST LEWIS: It's then
14 drawing a line from the curve that it's -- it's drawing
15 the same curve, you know, no matter what response level.
16 It's just where it draws a line down to the lower limit on
17 the benchmark response is where that response number comes
18 in.

19 PANEL MEMBER HAMMOND: Are we saying that you're
20 taking the dose response curve -- you have a dose response
21 curve?

22 DPR ASSOCIATE TOXICOLOGIST LEWIS: Yeah.

23 PANEL MEMBER HAMMOND: And you were going to say
24 that in order for there to be a detectable effect, the
25 suppression has to be 135 or less, the response, right,

1 the AC --

2 DPR ASSOCIATE TOXICOLOGIST LEWIS: Yes.

3 PANEL MEMBER HAMMOND: It's in my example --

4 DPR ASSOCIATE TOXICOLOGIST LEWIS: Yes.

5 PANEL MEMBER HAMMOND: -- of 150 with a 15 --

6 you're saying 10 percent you take. So it's got to be 135
7 or less to be detectible as a response. And then are you
8 saying I go to the response part of that curve and come
9 down and say what dose gives me that? Is that why you're
10 doing that?

11 DPR ASSOCIATE TOXICOLOGIST LEWIS: Yes, we look
12 at the lower --

13 PANEL MEMBER HAMMOND: And that becomes your
14 benchmark dose?

15 DPR ASSOCIATE TOXICOLOGIST LEWIS: Yeah.

16 PANEL MEMBER HAMMOND: That's what wasn't clear.

17 DPR ASSOCIATE TOXICOLOGIST LEWIS: Yeah. And
18 it's the lower limit on that response curve. It's not --
19 which takes into account some of the variation in the
20 response.

21 PANEL MEMBER HAMMOND: Okay.

22 PANEL MEMBER GLANTZ: So would it be -- just not
23 to beat a dead horse, but --

24 CHAIRPERSON FROINES: Did Paul have a question
25 that --

1 PANEL MEMBER GLANTZ: Oh, okay.

2 PANEL MEMBER HAMMOND: Oh.

3 PANEL MEMBER BLANC: No, I'll wait till you're
4 done.

5 PANEL MEMBER GLANTZ: Okay. So basically by
6 taking the coefficient of variation the way you are, what
7 you're saying is that "I want to make sure the effect is
8 pretty much below" -- at least one -- you know, within one
9 standard deviation of the uncertainty of what the mean
10 response is?

11 DPR ASSOCIATE TOXICOLOGIST LEWIS: Um-hmm.

12 PANEL MEMBER GLANTZ: But then I mean usually
13 people will go two. Why didn't you go two standard
14 deviations?

15 DPR ASSOCIATE TOXICOLOGIST LEWIS: Well, now
16 that's actually kind of a mixed bag, because if you
17 actually say, well, it has to exceed that, that actually
18 raises -- it requires that you have more inhibition before
19 you say this is significant. So you're actually being
20 more cautious in some ways by setting it one standard
21 deviation than at two.

22 PANEL MEMBER GLANTZ: Okay. And then the last
23 question I have is the -- and you were just talking about
24 it here and I also didn't understand it here. When you
25 did the experiments where you got the NOEL and the LOEL,

1 they didn't actually observe a no-effect level, right?

2 DPR ASSOCIATE TOXICOLOGIST LEWIS: Right. That's
3 why we --

4 PANEL MEMBER GLANTZ: So you took the lowest
5 effect -- the lowest level that in effect -- basically you
6 took the lowest level they studied?

7 DPR ASSOCIATE TOXICOLOGIST LEWIS: Yes.

8 PANEL MEMBER GLANTZ: And then you divided that
9 by three --

10 DPR ASSOCIATE TOXICOLOGIST LEWIS: -- three,
11 yeah.

12 PANEL MEMBER GLANTZ: -- for the reasons that you
13 specified?

14 DPR ASSOCIATE TOXICOLOGIST LEWIS: Yeah, I did,
15 yeah.

16 PANEL MEMBER GLANTZ: Okay. I think that that
17 could be more clearly stated in the text. I got very
18 confused by that.

19 DPR ASSOCIATE TOXICOLOGIST LEWIS: Okay.

20 PANEL MEMBER GLANTZ: I don't think it's an
21 unreasonable thing to do. But you might just want to go
22 back and be just a -- add another sentence there.

23 DPR ASSOCIATE TOXICOLOGIST LEWIS: Well, I was no
24 longer doing that, because I -- if I could go on, I'm
25 using this other study now. So I'm not --

1 PANEL MEMBER GLANTZ: Oh. Well, no, I'm talking
2 about for the one you used.

3 DPR ASSOCIATE TOXICOLOGIST LEWIS: Oh, the one
4 I -- okay.

5 PANEL MEMBER GLANTZ: Because it wasn't clear
6 what -- the one that you used -- and I read this a little
7 bit ago -- but it was the one where you're looking at
8 total brain activity or something, right?

9 No?

10 DPR ASSOCIATE TOXICOLOGIST LEWIS: No, I
11 didn't --

12 PANEL MEMBER GLANTZ: What was the one you used?

13 DPR ASSOCIATE TOXICOLOGIST LEWIS: I used the
14 90-day study. I ended up going to the two-week time point
15 in the 90-day study. It had the same effect and same
16 region in males, was the most sensitive effect. But there
17 was an observed NOEL for that study.

18 PANEL MEMBER GLANTZ: Okay. Well, at least when
19 I read it that --

20 DPR ASSOCIATE TOXICOLOGIST LEWIS: -- wasn't
21 clear?

22 PANEL MEMBER GLANTZ: -- wasn't clear. Because I
23 couldn't figure out if you were taking a LOEL and then
24 extrapolating a NOEL, or if there was an actual direct
25 going through.

1 DPR ASSOCIATE TOXICOLOGIST LEWIS: Yeah, there
2 was an actual. So that was the advantage.

3 And while I'm on this slide, because I'll make
4 this point later, is I thought that study was a good
5 surrogate for the NOEL in the acute study, because if you
6 look at the benchmark responses at the two-week time point
7 and then at the time of peak effect in the acute study,
8 the BML values are identical, which I thought was very
9 interesting.

10 PANEL MEMBER GLANTZ: Okay. Well, the one thing
11 I would just suggest -- because I read it about three or
12 four times. The thing you just said about the direct
13 leaves are of NOEL I couldn't find. Maybe it's there, but
14 maybe you need like bigger print or something. But I --

15 DPR ASSOCIATE TOXICOLOGIST LEWIS: I'm going to
16 have to make a point of saying "observed" or something.

17 PANEL MEMBER GLANTZ: Yeah, because that's such a
18 central point in the whole report, I think you just want
19 to be very -- because I mean it's obviously much stronger
20 if you actually observe a NOEL rather than if you're
21 taking a LOEL and then just dividing it by some number
22 that then you can argue about.

23 So that's basically everything I had about the
24 report.

25 PANEL MEMBER BLANC: Paul Blanc.

1 Can you clarify for us, because this is an
2 important -- potentially important precedent, what was the
3 nature of the gap in consensus between the Department of
4 Pesticide Regulation and the Health Department?

5 DPR ASSOCIATE TOXICOLOGIST LEWIS: Oh, why we
6 couldn't come to an agreement on the response level?

7 PANEL MEMBER BLANC: That's correct. I mean I'm
8 assuming that there was agreement that it was appropriate
9 to do a benchmark calculation and that the entire
10 difference in opinion had to do with the best measure of
11 variation to apply --

12 DPR ASSOCIATE TOXICOLOGIST LEWIS: Yeah, well --

13 PANEL MEMBER BLANC: -- is that correct?

14 DPR ASSOCIATE TOXICOLOGIST LEWIS: Well, they had
15 a problem with using CVs to set the threshold, because
16 they didn't think that it was equivalent to when you
17 compare to means, you know, do a statistical comparison.
18 And I mean it's true, it's not the same. But they didn't
19 come up with an alternative way to set the threshold, you
20 know, so that was the problem that we got down to, and
21 what's high enough and --

22 PANEL MEMBER BLANC: So fundamentally the Health
23 Department disagreed with the EPA's approach to
24 organophosphates? Because you made --

25 DPR ASSOCIATE TOXICOLOGIST LEWIS: No, they --

1 yeah, they didn't have a problem with using 10 percent.
2 But, you know, I still had concerns about using 10 percent
3 for the regional data. I didn't have a problem with whole
4 brain data, which is what U.S. EPA did. It was only whole
5 brain data. So it was just the regional brain data I had
6 reservations about.

7 PANEL MEMBER BLANC: I understand that that was
8 your question and your rationale for using 15 percent
9 instead of 10 percent. And I think that you make a
10 reasonable argument in that regard. And that's why I'm
11 trying to understand the Health Department's difference of
12 opinion. And if I understand what you're saying --

13 CHAIRPERSON FROINES: You mean OEHHA.

14 PANEL MEMBER BLANC: OEHHA, I'm sorry.

15 If I understand what you're saying, in fact
16 OEHHA's trepidation was not 15 percent versus 10 percent;
17 OEHHA's trepidation was using any coefficient of variation
18 as a driving force in a benchmark calculation.

19 DPR ASSOCIATE TOXICOLOGIST LEWIS: That's my
20 understanding.

21 PANEL MEMBER BLANC: And If I also understand
22 what you said, in fact your use of a coefficient of
23 variation in this approach was based on the EPA's overall
24 approach to organophosphates, taking into account that
25 they were using whole brain variation.

1 Did I understand that correctly?

2 DPR ASSOCIATE TOXICOLOGIST LEWIS: Yeah.

3 Now, I should point out that U.S. EPA, how they
4 came up with 10 percent was there was just this feeling
5 that generally this level was statistically significant,
6 you know, in brain, and that's how they came up with it.

7 I started using the CVs -- when we've been
8 working on our cholinesterase policies, we used CVs to try
9 to come up with thresholds. And so it was just an
10 extension of that. We had had trouble initially when we
11 looked at the regional brain data coming up with
12 thresholds because of the variability compared to the
13 whole brain data. I looked at it again and looked at more
14 of the individual time points and started to get a
15 stronger feel that, well, maybe, you know, something a
16 little bit higher, you know, maybe like 15 percent instead
17 of 10 would be better, yeah.

18 PANEL MEMBER BLANC: Okay. So the reason I'm
19 taking so much time with this particular issue is
20 because -- not because I think that it would change
21 fundamentally something about the report that you've done
22 and the way that you've done it. And I think it was very
23 responsive to go back and do the benchmark. But I think
24 it raises issues for us as a panel going forward and
25 echoes I think something that Dr. Froines has brought up

1 on more than one occasion in terms of a consistent
2 approach to organophosphates and the need to address
3 state-of-the-art questions. And I think this clearly will
4 come up in the future.

5 And I would certainly like to see going forward
6 further work by OEHHA and the DPR looking at the issue of
7 variation in organophosphate responses and the EPA's
8 approach and whether or not OEHHA does or does not endorse
9 this sort of basic component of the EPA approach. Because
10 if they don't -- and I'm not saying whether 10 percent
11 versus 15 percent. It's a more fundamental question, is
12 is it appropriate to be using the variation in the
13 controls in manner in which EPA has done? And I think
14 there needs to be some more definitive comment from OEHHA
15 which isn't simply "we're not happy with that but we don't
16 have any alternative approach."

17 CHAIRPERSON FROINES: Andy -- I think I'm calling
18 Andy instead of Melanie, but either one is appropriate.

19 The question that Paul's raising I think is
20 really quite important because it has long-term policy
21 implications for anything we do in the future. And if
22 we're not sanguine about the current approach, then this
23 will come up repeatedly in the future I think to the
24 degree that we do organophosphates.

25 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION

1 MANAGER MARTY: Yeah, I think I can only speak to what I
2 know. And, that is, we have had discussions in the past
3 with DPR about --

4 CHAIRPERSON FROINES: Could you put the mike
5 closer.

6 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION

7 MANAGER MARTY: I'm sorry.

8 We've had discussions in the past between our two
9 groups about how to use cholinesterase inhibition data.
10 And the ball got dropped at some point. We never really
11 came out with a final document. So I can take that back
12 to George and say we really ought to get that work group
13 up and going again and talk these things through.

14 I think in the end we ended up agreeing with how
15 DPR generated their NOELs. We may have gotten there in a
16 different way. So I don't think there's any basic
17 disagreement right now with how they've done this
18 assessment in the end.

19 CHAIRPERSON FROINES: Well, just for people
20 who -- for example, Charlie who wasn't around. We held a
21 workshop on how to address organophosphates. It was a
22 daylong workshop. And that was an extensive discussion on
23 the science associated with OP pesticides and how we were
24 going to approach them, because there was different policy
25 decisions that EPA was making.

1 And then there was a work group that was
2 established to develop a concerted policy on this issue.
3 And then the then director, Mr. Helliker, basically as far
4 as I remember killed that group that were working
5 together, and though the issue from this Panel's
6 standpoint was dead. And nothing came forward as a
7 culmination of that process.

8 And so now we're now back into that issue through
9 the back door with Methidathion. And so I think Paul's
10 entirely correct that the OP issue is one that we need a
11 consistent California policy on if we're going to -- if
12 we're going to have -- because we don't want to set DPR
13 and OEHHA at odds with one another, and so it seems to me
14 that we need to proceed to come to clarity about this
15 issue, which is what I think you're saying.

16 Kathy.

17 PANEL MEMBER HAMMOND: Yeah, the reason I asked
18 my question earlier actually relates to this. And I
19 think -- I agree with what John is saying. I think it's
20 very important that the methodology be agreed upon and
21 thought through so that we don't fight the battle over a
22 particular chemical but rather, you know, think it
23 through.

24 And so my understanding, a benchmark dose and
25 that whole benchmark dose idea was a way to get around the

1 question of what -- how to look at the shape of the curve
2 below the lowest observable value --

3 CHAIRPERSON FROINES: Right.

4 PANEL MEMBER HAMMOND: -- and how the different
5 equations will give you different shapes and therefore
6 different dose response -- you know, and different
7 extrapolated LOELs and NOELs and things.

8 And so my understanding was the benchmark dose
9 starts out being a dose at which everybody who looks at
10 the data would agree there's an effect that's happening
11 here. Now, I totally agree with -- I mean you have a
12 reasonable way to approach how to determine what that --
13 recognizing that something has happened, has occurred,
14 that there's an effect; in other words taking a response
15 that's less than one standard deviation from the norm of
16 the controls. And that just defines at what point --

17 PANEL MEMBER GLANTZ: -- more than one standard
18 deviation.

19 PANEL MEMBER HAMMOND: No, no, no. Just hold
20 this for a minute. Just don't -- go there, elsewhere
21 later.

22 But one has to decide when you've got a
23 continuous variable, it's not a dichotomous variable, when
24 is there an effect? You know, is this like -- is this
25 like a very small little blip, you know, part of diurnal

1 variation and, you know, you see a need to pick that
2 number, however it's picked.

3 All right. But at that point that's when you can
4 say you've observed an effect. But if you had done an
5 experiment -- let's just take my example from before where
6 we -- for whatever reason, we've all agreed that going
7 below 135 units -- I have no idea what the real units are
8 -- but 135 units is a real effect, a real suppression.
9 Then if in your experiments, you know, the very first
10 dose, the lowest dose you have has a suppression so that
11 you're down to 85 units, you can't extrapolate to look at
12 that dose because then you've totally undermined the
13 benchmark dose. You're into another realm of risk
14 assessment at that point.

15 So I think the standard approach with benchmark
16 is if the lowest dose has an effect that you agree is an
17 effect -- you know, if your lowest dose group has an
18 effect, then I think that's your benchmark dose. You
19 wished that you'd done an experiment lower. And you need
20 to then divide that dose by 10 or 100 or something and not
21 by 3. I mean I think you -- there's some standard things
22 that people could talk about what you divide it by.

23 CHAIRPERSON FROINES: Well, Kathy --

24 PANEL MEMBER HAMMOND: But I think it's
25 conflating two different issues, you know. But you don't

1 want to be now trying to describe the shape of the curve
2 below your lowest point and call it benchmark, because
3 then you've lost the whole advantage of benchmark dosing.

4 CHAIRPERSON FROINES: But the benchmark is a
5 level at which there is an observed effect.

6 PANEL MEMBER HAMMOND: An actual experimentally
7 observed effect, yes.

8 CHAIRPERSON FROINES: Yeah. And then one uses
9 uncertainty and safety factors to get down --

10 PANEL MEMBER HAMMOND: Right. But, see, that is
11 what I was hearing described when I asked earlier about
12 what happened. It sounded to me like you take the 135
13 response and then you go down to the dose that would do
14 that. And if that dose were below the lowest dose where
15 you did your experiment, then you're back not into the
16 benchmark realm but you're into another risk assessment.
17 Not a wrong one but just a different one.

18 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION
19 MANAGER MARTY: Can I jump in here, and maybe I'll have
20 Andy jump in too.

21 We're using the term "benchmark dose"
22 differently. I think it's part of the semantics. Because
23 in risk assessment, when you do a benchmark dose
24 methodology, you're actually modeling that dose response
25 curve to a specified response rate, either 5 percent --

1 that might be below your observable dose range.

2 PANEL MEMBER HAMMOND: That's different than a --

3 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION

4 MANAGER MARTY: And then that's the departure point that
5 risk assessors use to then divide through by uncertainty
6 factors. So we're using the term a little bit
7 differently.

8 CHAIRPERSON FROINES: Yeah, the -- my
9 understanding of the benchmark dose is that the percent
10 that you go down to is not necessarily an observed value.
11 It's a selected value. And you can select 5, 10, 1, 100,
12 whatever you choose. But it's a selected value that
13 presumably gives you some confidence in the shape of your
14 dose response curve. and what you're then doing is using
15 uncertainty factors to get you down to what you would
16 consider an acceptable level of protection.

17 And so, Kathy, it's not -- the 10 percent is not
18 a -- like a LOEL. It's not an observed dose -- it's not
19 an observed effect. It's a defined point in the dose
20 response curve. And correct me if I'm wrong.

21 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION

22 MANAGER MARTY: No, that's right.

23 PANEL MEMBER BLANC: I just want to also clarify
24 that the reason why I think this has been well handled in
25 the report as you've done it is that you're using the

1 benchmark extrapolation as the secondary analysis
2 approach, but your actual recommendations are based on the
3 no-effect level in a study in which you had a no-effect
4 level rather than an extrapolation from a low-effect
5 level. And I think that's an important point, because
6 basically what we're saying here is that in this report
7 and in our findings related to this report, it's not that
8 we are making a precedent of using a 15 percent
9 acetylcholinesterase when regional brain suppression level
10 endpoints are available rather than whole brain. But
11 we've used it here as a secondary approach, much in the
12 way that we used the meta-analysis of the diesel exhaust
13 data as a secondary confirmatory approach to the data,
14 say, are we -- if we use an alternative approach, are we
15 still on the same -- more or less the same conclusion,
16 which in fact we are in this case.

17 And I think that is important. Because I think
18 it would be less comfort if we were really doing something
19 which was potentially establishing a precedent. Which I
20 don't believe we are, but I think it does highlight the
21 need to come to a clearer consensus going forward, because
22 in fact the next organophosphate that we view, we may have
23 to or prefer to use a benchmark approach as our key study
24 endpoint.

25 Does that make sense?

1 CHAIRPERSON FROINES: Yeah.

2 Two things: I want to give Carolyn a chance to
3 say something, before -- because we are going around our
4 table here. But I think Paul -- I want to reemphasize
5 Paul's point.

6 There was much more tension between ourselves and
7 DPR at one point in history. That's changed dramatically.
8 And so I think this would be a very good time for OEHHA
9 and DPR to look at that OP issue again in a much better
10 environment, and at some point in the future come back and
11 say, "Here's what we think," if that would be acceptable
12 to you guys.

13 Stan.

14 PANEL MEMBER GLANTZ: Just one quick -- I think
15 the point that Paul made about the use of the benchmark as
16 the backup and those things, those are the kind of things
17 I didn't get when we reading the report. And I would urge
18 you to just integrate -- you know, that's sort of getting
19 to the point of clarification I made earlier. So I think
20 the kind of way he presented it you might be able to get
21 out of the transcript to make the changes in the report.
22 And I think the -- the use of the thing in a confirmatory
23 way, that was another thing I was confused by. And I
24 think that really strengthens the number you came up with.

25 CHAIRPERSON FROINES: I just wanted -- I say that

1 we're going to give it to Carolyn and then I go back and
2 talk some more.

3 PANEL MEMBER BLANC: At least you're consistent.

4 PANEL MEMBER GLANTZ: What's new? Yes, you're
5 consistent.

6 (Laughter.)

7 PANEL MEMBER BLANC: Your coefficient of
8 variation is much less than 10 percent.

9 PANEL MEMBER GLANTZ: It's vanishingly small.

10 CHAIRPERSON FROINES: The meanness is with the
11 Panel, not with the agency relationship.

12 (Laughter.)

13 CHAIRPERSON FROINES: I forgot what I was going
14 to say.

15 PANEL MEMBER GLANTZ: I think Craig wanted to say
16 something.

17 CHAIRPERSON FROINES: Oh, I know. I did want to
18 say that we -- without going through the long litany of
19 the weaknesses, particularly statistic, about the NOEL
20 approach, obviously it seems to me that if we can use
21 benchmarks, that that is the better way to go in the long
22 term. So that would be like a charge I think we would all
23 agree with, that the benchmark gives you a much better
24 sense of the dose response relationship. And the NOEL is
25 what we've been doing since FDA looked at these issues

1 with how much crud can we allow in food in the fifties.

2 And so that -- enough said.

3 Carolyn.

4 DPR ASSOCIATE TOXICOLOGIST LEWIS: Okay.

5 CHAIRPERSON FROINES: I'm sorry.

6 PANEL MEMBER BYUS: I just want to make one
7 comment. And that was a -- as I recall from the workshop,
8 which I recall an cholinesterase inhibition in
9 organophosphates, it was very illuminating. And there
10 were a lot of issues in there. One of them, as I'm
11 recalling now, wasn't how you do the assays for
12 cholinesterase. But there's various ways to do it and
13 that had less variation.

14 And so that is a factor that you really would
15 want to apply in deciding which data to include in these
16 calculations. And I don't think that was ever -- I mean
17 that would be something really worthwhile to factor in in
18 some standardized way, that certain assays had inherently
19 less variation and were more accurate, as I recall, than
20 others, certain ways of doing the assays based on the
21 individual data that was provided.

22 And the other factor is the end. I mean you can
23 have more variation and have a lot of significance
24 depending on how many values are there. So I mean you
25 don't want to ignore that fact.

1 DPR ASSOCIATE TOXICOLOGIST LEWIS: Yeah.

2 PANEL MEMBER BYUS: Just because it this
3 you're -- this approach of the variance doesn't get to the
4 end in a study, does it?

5 DPR ASSOCIATE TOXICOLOGIST LEWIS: No. I mean
6 the standard deviation sort of takes care --

7 PANEL MEMBER BYUS: Right. So I mean, you know,
8 the end is another thing. So I mean I think there's a
9 lot -- I'm just -- let's say what Dr. Froines said, that I
10 think it would be a good idea to revisit that issue --
11 those issues in a standardized way.

12 DPR ASSOCIATE TOXICOLOGIST LEWIS: The --

13 PANEL MEMBER BYUS: Because there are still a
14 fair number of organophosphates out there and this would be
15 of value.

16 DPR ASSOCIATE TOXICOLOGIST LEWIS: Yeah, yeah.

17 The variation -- or the -- and the methodology
18 actually came up when we were working on the
19 cholinesterase policy before. And you look at plasma data
20 and you look at RVC data, and you see a lot of variation
21 in those, some of which I think with the plasma is due to
22 physiological factors. With the RBC more methodological
23 factors come into play because the hemoglobin can
24 interfere with a chromatic assay because they read it at a
25 wavelength where it can interfere. So, you know, a lot of

1 that -- and then it turns out the brain usually has the
2 least variation -- the whole brain has the least
3 variation.

4 CHAIRPERSON FROINES: But it's still true that we
5 never did resolve the RBC plasma issue. That's still
6 sitting out there. And if the criteria was only brain
7 cholinesterase, I think you'd find this Panel would be in
8 disagreement with that as the only endpoint that would be
9 appropriate. And I think that's a fair statement.

10 DPR ASSOCIATE TOXICOLOGIST LEWIS: Yeah. I think
11 we are now including the plasma in RBC as an endpoint in
12 our risk assessments.

13 CHAIRPERSON FROINES: Good. This is a very good
14 discussion.

15 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION
16 MANAGER MARTY: One other little point to partially
17 address Craig. If you use the benchmark dose approach,
18 you can account somewhat for sample size, because you're
19 doing that -- like the hood estimate, if you use that
20 lower bound on the slope of that dose response, you are
21 implicitly accounting for our difference in sample size a
22 little bit. But you're right though, that it's a
23 little -- you get nervous when you look at the sample size
24 of some of these studies.

25 PANEL MEMBER BYUS: That's right, exactly. I

1 mean the sample size --

2 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT UNIT

3 CHIEF SALMON: It's one thing to have a statistical remedy
4 for the problem and another to feel comfortable about it
5 actually.

6 (Laughter.)

7 CHAIRPERSON FROINES: Shall we move on.

8 Were you going to say something?

9 PANEL MEMBER BLANC: No, let's move on.

10 CHAIRPERSON FROINES: Thanks, Melanie and Andy.

11 That's the way we should have these discussions.

12 --o0o--

13 DPR ASSOCIATE TOXICOLOGIST LEWIS: Okay. So both
14 DPR and OEHHA agreed to use the two-week NOEL from the
15 90-day study for an acute NOEL. And I just had this table
16 here just as a refresher to show the magnitude of
17 inhibition that was seen in the 90-day study. The most
18 severe inhibition was seen usually at the 13-week terminal
19 sacrifice. I also have included in this table though the
20 inhibition in the cortex at two weeks as a point of
21 comparison.

22 There was some concern about using the NOEL from
23 this study, the lowest dose level, because there appear to
24 be some reduction in activity at this dose level.
25 However, I should point out that these reductions were

1 within the normal variation for regional brain
2 cholinesterase.

3 --o0o--

4 DPR ASSOCIATE TOXICOLOGIST LEWIS: Okay. So the
5 evidence supporting the use of the two-week NOEL of .18
6 milligrams per kilogram from the 90-day study for the
7 acute NOEL was that the BMD responses were the same for
8 the cholinesterase inhibition in the cortex at 1.5 hours
9 in the acute study, which was the time of peak effect, and
10 at two weeks in the 90-day study.

11 Also, the CV for the cholinesterase activity in
12 the cortex in the controls at two weeks was low. It was 9
13 percent. And so the statistical analysis at this time
14 point should be very sensitive -- or fairly sensitive, I
15 should say.

16 The NOEL at two weeks is also similar to the BMDL
17 at 10 percent that U.S. EPA calculated for Methidathion,
18 which was based on whole brain cholinesterase data from
19 the two-year rat study. And this was done as part of the
20 cumulative risk assessment for OPs.

21 And, finally, the two-week NOEL is fairly similar
22 to the lowest chronic NOEL that was seen in the one-year
23 dog study. Now, that NOEL was actually based on liver
24 toxicity. There was a slightly higher NOEL in the
25 two-year rat study of .17 that was based on cholinesterase

1 inhibition.

2 --o0o--

3 DPR ASSOCIATE TOXICOLOGIST LEWIS: Okay. Well,
4 that's interesting.

5 Those are supposed to be microgram -- those
6 little computers symbols.

7 Anyway, this is a summary of the revised exposure
8 assessment -- or revised exposure estimates for
9 Methidathion. Most of the changes are in the application
10 site estimates because of a surrogate study now being used
11 for estimating exposure. The surrogate study was used
12 because the study for Methidathion had samplers that were
13 not downwind at the time of the study. And this study had
14 samplers. It was a methyl parathion study in a walnut
15 grove done in 2000 -- in the summer of 2003. And the
16 samplers were all around the field, and the exposure
17 estimates were based on the downwind samplers.

18 PANEL MEMBER BLANC: Can you point out to us in
19 the draft document what page that piece was on -- I mean
20 you've got it here, but --

21 DPR ASSOCIATE TOXICOLOGIST LEWIS: Oh, where
22 you'd find that in my document?

23 PANEL MEMBER BLANC: Yeah, in the big document.

24 DPR ASSOCIATE TOXICOLOGIST LEWIS: Okay. Let me
25 see. Give me a minute.

1 CHAIRPERSON FROINES: While they're looking for
2 that, do you anticipate -- Randy will give my answer --
3 that this dramatic drop in Methidathion use is going to
4 continue and that it's going to slowly but surely be not a
5 pesticide of choice over time?

6 DPR SENIOR ENVIRONMENTAL RESEARCH SCIENTIST

7 SEGAWA: Yes. Randy Segawa with the DPR.

8 Yes, the use for Methidathion should continue to
9 decline because, in addition to the health effects, we
10 also have environmental concerns with that particular
11 pesticide as well as all other organophosphates
12 particularly on orchards.

13 CHAIRPERSON FROINES: And does that relate to the
14 water issues?

15 DPR SENIOR ENVIRONMENTAL RESEARCH SCIENTIST

16 SEGAWA: Correct.

17 DPR ASSOCIATE TOXICOLOGIST LEWIS: Okay. This
18 discussion is on page 91 in the health risk assessment.
19 And the table is basically Table 31 in the the document.
20 It's on page 92.

21 PANEL MEMBER BLANC: So I have a couple reactions
22 to this. One is that I thought it was a much better
23 approach certainly to try to find a surrogate exposure
24 sampling data event rather than simply saying, "Well, we
25 only have these data for this specific chemical when there

1 were no downwind samplers." So I think that's great.

2 DPR ASSOCIATE TOXICOLOGIST LEWIS: Um-hmm.

3 PANEL MEMBER BLANC: And there is another
4 organophosphate. I'm assuming -- and you adjusted for the
5 usage level --

6 DPR ASSOCIATE TOXICOLOGIST LEWIS: Yeah,
7 application.

8 PANEL MEMBER BLANC: -- one would to an active
9 ingredient.

10 I'm assuming also that you had reason to believe
11 that the physical properties of the two organophosphates
12 were similar enough that the application of the
13 alternative organophosphate should be a reasonable model
14 for application of this organophosphate in terms of this
15 sort of general physical properties of the material?

16 DPR ASSOCIATE TOXICOLOGIST LEWIS: Yeah. Now,
17 Cheryl was the one who evaluated that study. But my
18 understanding was she took the physical properties of
19 methyl parathion into account and compared them with
20 Methidathion and thought they were reasonably similar,
21 that it made a good surrogate.

22 PANEL MEMBER BLANC: I think -- and the reason
23 why I asked you to point out the page where this is, I
24 don't think that is stated either implicitly or
25 explicitly.

1 DPR ASSOCIATE TOXICOLOGIST LEWIS: Not in my -- I
2 think it's in her document. I can -- I can add it to
3 mine.

4 PANEL MEMBER BLANC: I think it needs to be
5 there. And I think that it needs to be in our findings in
6 so far as they touch upon the -- you know, we talk about
7 the substitution, but -- we say that it's a reasonable
8 model because the physical -- the physical properties were
9 similar?

10 We certainly talk about the rationale because we
11 didn't have decent data for the other.

12 DPR ASSOCIATE TOXICOLOGIST LEWIS: Yeah. Okay.

13 Yeah, I'll make sure that gets in --

14 PANEL MEMBER BLANC: Right, because if the --
15 what was it that you -- you did use methyl parathion? No.

16 CHAIRPERSON FROINES: Yes.

17 PANEL MEMBER BLANC: Is that right?

18 DPR ASSOCIATE TOXICOLOGIST LEWIS: Yes.

19 PANEL MEMBER BLANC: Yeah. I mean if methyl
20 parathion, for example, were five times more volatile than
21 the material in question, then it wouldn't -- you'd have
22 to have a factor of 5 or something to adjust for it,
23 right?

24 DPR ASSOCIATE TOXICOLOGIST LEWIS: Yeah, yeah.

25 PANEL MEMBER BLANC: You wouldn't know whether it

1 was at the edge of the field or -- whatever.

2 DPR ASSOCIATE TOXICOLOGIST LEWIS: Yeah. You
3 know, unfortunately I don't have sheryl's document to
4 confirm that it's in hers. But I was fairly sure I
5 remembered her talking about the similarities in the
6 physical properties between the two chemicals.

7 Okay. So anymore --

8 PANEL MEMBER BLANC: No, I just -- in principle
9 I'm very pleased that you did this, because the other
10 approach really didn't sit well, just saying, "Well, we
11 actually don't have good data, but we'll use the data that
12 we have," which is where we were at before. So this is a
13 much more reasonable approach. And I would just like to
14 see those dots connected with the other.

15 DPR ASSOCIATE TOXICOLOGIST LEWIS: Yes.

16 Okay. So, mainly the values that the
17 application --

18 CHAIRPERSON FROINES: Would you just as a
19 practical note, when you put that sentence or two or three
20 in to your document, would you send it to me by e-mail,
21 and I'll incorporate it into the findings --

22 DPR ASSOCIATE TOXICOLOGIST LEWIS: Oh, for your
23 findings.

24 CHAIRPERSON FROINES: -- and that way we don't
25 have to -- I don't have to try and be as creative as a

1 writer.

2 (Laughter.)

3 CHAIRPERSON FROINES: Because then I'll get
4 comments back from the Panel.

5 DPR ASSOCIATE TOXICOLOGIST LEWIS: I may borrow
6 it from Cheryl too.

7 (Laughter.)

8 CHAIRPERSON FROINES: Thanks.

9 DPR ASSOCIATE TOXICOLOGIST LEWIS: Okay. So the
10 exposure values estimates at the application site were
11 revised, mainly due to this surrogate study. But also
12 seasonal and chronic exposure estimates were added for the
13 application site, which was requested by the Panel.

14 The ambient exposure values basically didn't
15 change.

16 --o0o--

17 DPR ASSOCIATE TOXICOLOGIST LEWIS: So these are
18 the revised MOEs for the application site and the ambient
19 air. I also added a percent RfC calculation here as
20 another way to look at the -- or interpret the
21 acceptability of the exposures.

22 The MOEs again mainly changed at the application
23 site primarily because of the surrogate data, but also
24 because the acute NOEL had changed. And then, again,
25 there were now seasonal, chronic MOE calculations.

1 There is concern about the acute exposure at the
2 application site, because the MOEs are less than 100 or
3 the exposures were greater than 100 percent of the RfC.
4 The MOEs at the application site for seasonal chronic
5 exposure were greater than 100. However, they still
6 represented less -- or more than 10 percent of the RfC,
7 prompting its consideration as a toxic air contaminant.

8 CHAIRPERSON FROINES: Carolyn, can I make -- this
9 is a little bit off topic, but it's not entirely.

10 When I was writing the -- working on the
11 findings, I went looking for a table of the RfCs. And I
12 had one from OEHHA. But I found, if I'm -- unless I
13 missed something, and I may have missed it -- I found the
14 RfCs as a footnote in a larger table. But there was no
15 RfC table.

16 DPR ASSOCIATE TOXICOLOGIST LEWIS: Oh, there is
17 one. There's a section called the reference dosed
18 concentration section at the end.

19 CHAIRPERSON FROINES: Could you tell me where
20 that is, because I clearly then missed it.

21 DPR ASSOCIATE TOXICOLOGIST LEWIS: Yeah. Well,
22 it's also in the summary too. If you look in the summary,
23 there's a table.

24 CHAIRPERSON FROINES: I didn't look at summaries.

25 DPR ASSOCIATE TOXICOLOGIST LEWIS: Okay. Page

1 124, 125 is a calculation of reference doses and
2 concentrations. And there's Table 46.

3 CHAIRPERSON FROINES: Well, then okay. Forget
4 it. It's my fault. I used the OEHHA one. So unless you
5 have an objection, just for the sake of argument, I'll
6 just leave it the way it is unless there's something wrong
7 with your view of their table.

8 PANEL MEMBER BLANC: Are you talking about in the
9 findings?

10 CHAIRPERSON FROINES: Yes.

11 PANEL MEMBER BLANC: As the appendix to the
12 findings?

13 CHAIRPERSON FROINES: Yes.

14 DPR ASSOCIATE TOXICOLOGIST LEWIS: All right. So
15 there's less concern about the ambient air exposure
16 because the MOEs were greater than a thousand and -- or an
17 exposure represented less than 10 percent of the RfC.

18 --o0o--

19 DPR ASSOCIATE TOXICOLOGIST LEWIS: Since chronic
20 exposure estimates were now calculated for the application
21 site, cancer risk estimates were then calculated for the
22 application site. The cancer risk estimates range from
23 2.5 times 10 to the minus 5th to 3.9 times 10 to the minus
24 5th. These are an order of magnitude higher than those
25 that were calculated for the ambient air. Those values

1 for ambient air did not change from the previous draft.

2 However, the cancer risk for both the application
3 site and ambient air are of concern because they're
4 greater than the negligible risk level.

5 --o0o--

6 CHAIRPERSON FROINES: This is a good example of a
7 tension that we had two, three, four, five years ago where
8 there was debate about ambient versus application site
9 monitoring. So this was an issue, and this is dealt with
10 well I think.

11 DPR ASSOCIATE TOXICOLOGIST LEWIS: Okay. There
12 was no toxicity data for the oxon of Methidathion. We
13 contacted the registrant to see if they had any a data
14 they just had not submitted to us. They said they'd never
15 conducted any studies because the oxon had not been
16 included in the tolerance for Methidathion. Apparently
17 U.S. EPA considered the oxon of Methidathion a minor plant
18 metabolite, therefore did not include it in the tolerance.

19 However, U.S. EPA has become concerned about the
20 contribution of Methidathion to drinking water exposure
21 when they did their cumulative risk assessment. And they
22 assumed that the oxon was 10 times -- or 100 times as
23 toxic as the parent. And I thought this was an
24 interesting exercise. So I decided to see what would
25 happen to the MOEs if I made similar assumptions about the

1 oxon. And so these are what the exposure estimates would
2 be if the oxon was 10 times or 100 times as toxic.

3 And the biggest effect is on the ambient air
4 exposure, because the oxon contributed more to the total
5 exposure in ambient air compared to the application site.

6 PANEL MEMBER BLANC: And this is the -- I'm
7 sorry. Paul Blanc here.

8 The numbers that you're providing here in this
9 table are the MC -- I'm sorry, I've got the initials
10 wrong, but the --

11 DPR ASSOCIATE TOXICOLOGIST LEWIS: -- MOEs?

12 PANEL MEMBER BLANC: -- the MOEs?

13 DPR ASSOCIATE TOXICOLOGIST LEWIS: Yes, yes.

14 PANEL MEMBER BLANC: These are the MOEs.

15 So therefore the MOE for infants of 93 is less
16 than 100?

17 DPR ASSOCIATE TOXICOLOGIST LEWIS: Yes, yes.

18 PANEL MEMBER BLANC: And the other is right at
19 100 for infants?

20 DPR ASSOCIATE TOXICOLOGIST LEWIS: Yes.

21 PANEL MEMBER BLANC: And, in fact, if you looked
22 at as a percentage of the RCD -- RCD?

23 DPR ASSOCIATE TOXICOLOGIST LEWIS: Yes.

24 PANEL MEMBER BLANC: -- for adults, although the
25 MOE is 200, it would be 20 percent of the MCD, would it

1 not?

2 DPR ASSOCIATE TOXICOLOGIST LEWIS: Sounds
3 about -- yeah.

4 PANEL MEMBER BLANC: Something like that?

5 DPR ASSOCIATE TOXICOLOGIST LEWIS: Yeah.

6 PANEL MEMBER BLANC: But that was a good
7 relationship that you were doing.

8 So I think this is extremely important. And
9 although I think the findings -- well, first of all, you
10 said you did this. Is this in the document?

11 DPR ASSOCIATE TOXICOLOGIST LEWIS: This is in the
12 risk appraisal section. I didn't put it up front further
13 because it is very hypothetical.

14 PANEL MEMBER BLANC: But it's in the document,
15 is it?

16 DPR ASSOCIATE TOXICOLOGIST LEWIS: It's in the
17 risk appraisal section, sort of a what-if, you know.

18 PANEL MEMBER BLANC: Right.

19 I would suggest, John, in terms of the findings,
20 because I know that our findings talk about there really
21 aren't data for the ox -- this is all -- may not be
22 conservative enough because the oxon doesn't have good
23 data. I'd actually like to see the findings explicitly
24 say that if one assumes 100 times greater potency of the
25 oxon, then the ambient extrapolations would indeed fall to

1 MOE of a hundred or less for infants.

2 CHAIRPERSON FROINES: This table is in the
3 document?

4 DPR ASSOCIATE TOXICOLOGIST LEWIS: Yes, in the
5 risk appraisal section. I can -- if you want to know, I
6 can tell you what the table number --

7 CHAIRPERSON FROINES: No, I can do it.

8 PANEL MEMBER GLANTZ: You don't need to go to a
9 100. And in some cases even with a 10 times assumption
10 you get below an MOE of 100.

11 DPR ASSOCIATE TOXICOLOGIST LEWIS: Yeah. Well,
12 as it -- we were already below 100 without even assuming,
13 I mean 10x for the acute exposures. But it does push some
14 of the ambient airs down below a thousand, you know, which
15 is I think maybe more.

16 CHAIRPERSON FROINES: This is maybe a question
17 for Roger.

18 But do you have any sense of how rapidly the
19 Methidathion is transformed atmospherically to the oxon?
20 In other words, when we actually talk about Methidathion,
21 are we making an error in judgment that that's the
22 chemical that people are being exposed to?

23 PANEL MEMBER ATKINSON: If the Methidathion is
24 totally in the gas phase, its lifetime will be on the
25 order of a couple of hours at most. And a certain

1 fraction of it will be transformed to the oxon.

2 CHAIRPERSON FROINES: So what --

3 PANEL MEMBER ATKINSON: Over a time period of
4 something -- depending on the time of day, it could be --
5 noon time presumably could be an hour or so.

6 PANEL MEMBER BLANC: Well, that just relates to
7 .6 in the findings.

8 PANEL MEMBER ATKINSON: Yeah, which
9 needs -- well, 6 needs to be moved. But, yeah, that's
10 right.

11 CHAIRPERSON FROINES: Point 6 --

12 PANEL MEMBER BLANC: And I think that John --

13 PANEL MEMBER ATKINSON: Six needs to be
14 amalgamated with 8 in the final end spot.

15 PANEL MEMBER BLANC: So it's somewhere between .8
16 hours and two days?

17 PANEL MEMBER ATKINSON: Well, I didn't put the
18 two days, but --

19 CHAIRPERSON FROINES: The point about -- you see,
20 the problem with finding 6 -- and I'm sorry, Carolyn, for
21 back and forth here. The problem with 6 is that it
22 doesn't draw the conclusion that Paul is raising with this
23 other point, which is that it's entirely possible -- well,
24 we do say it in the findings that we may be
25 underestimating toxicity because of this. But I wonder

1 if -- do we need something in 6 that's more specific to
2 the fact that we -- well, we do say it later, so maybe
3 it's fine.

4 PANEL MEMBER BLANC: Well, I mean I think what we
5 should do is logically come back after we complete this to
6 the findings and sort of go through more systematically.

7 CHAIRPERSON FROINES: Right, right, right.

8 Let's go ahead, Carolyn.

9 DPR ASSOCIATE TOXICOLOGIST LEWIS: Okay. There's
10 just one other point I wanted to make.

11 In U.S. EPA's cumulative risk assessment they
12 noted that they only had toxicity data for two -- for the
13 oxons of two OPs. That was chlorpyrifos and methyl
14 parathion. And in both cases the OPs were less -- the
15 oxons, excuse me -- were less than 10 times as toxic as
16 the parent. So that perhaps the 100x assumption is maybe
17 excessive but not the 10x.

18 CHAIRPERSON FROINES: This is a rhetorical
19 statement, and I apologize for it. But it does seem
20 slightly absurd that EPA doesn't spend more time looking
21 at the toxicity of these oxons. I mean here we have --
22 this comes up repeatedly where you have a sulfur going to
23 an oxygen and nobody's studying the right compound,
24 perhaps.

25 DPR ASSOCIATE TOXICOLOGIST LEWIS: They

1 apparently have requested data on the oxon of Methidathion
2 now as a result of that cumulative risk assessment, from
3 what I understand. But we haven't seen any of the data
4 for it yet.

5 CHAIRPERSON FROINES: It's crazy, isn't it?

6 --o0o--

7 DPR ASSOCIATE TOXICOLOGIST LEWIS: Okay. This is
8 the table with the critical NOELs, the endpoints, and the
9 corresponding reference doses and concentrations that were
10 used in the risk assessment. That was that table, I think
11 it was 46, in the back of the document. And it's also
12 been in the summary too. And that was just to summarize
13 it in a clear fashion.

14 --o0o--

15 DPR ASSOCIATE TOXICOLOGIST LEWIS: And then I
16 just want to briefly mention some other minor changes to
17 the document that were requested by the Panel.

18 One was a discussion was added to the weight of
19 evidence for carcinogenicity regarding the potential
20 genotoxic metabolisms.

21 The term "oncogenicity" was changed to
22 carcinogenicity since more people were familiar with that
23 term.

24 The environmental fate section was reduced to a
25 few paragraphs since much of this information was

1 redundant since there's a environmental fate document.

2 And, finally, although not requested by the
3 Panel, a summary of U.S. EPA's 2006 update to the
4 cumulative risk assessment for OPs was added to the risk
5 appraisal section.

6 And that concludes my presentation.

7 CHAIRPERSON FROINES: Thank you.

8 Roger Atkinson and Charles Plopper were the leads
9 on this compound. So I guess what I'll ask them is: Do
10 you have anything more to add at this point?

11 PANEL MEMBER ATKINSON: I had a fair number of
12 comments which I sent up to Cheryl before Christmas. I
13 Fed Ex'd the whole thing with red ink over it. I haven't
14 heard anything more. So I have no idea what happened.

15 DPR ASSOCIATE TOXICOLOGIST LEWIS: I think she
16 did receive them. I think she just hasn't had time to
17 start working on them. So she had higher priorities. I
18 assume she'll address them and --

19 CHAIRPERSON FROINES: Well, let me ask a
20 question. Since obviously we're going to be discussing
21 findings and yet we've already had discussion about some
22 relatively minor changes that we'd like you to make, the
23 question for Roger is: Can we go ahead with tentative
24 approval of the document recognizing that his comments
25 have not been incorporated?

1 PANEL MEMBER ATKINSON: Yeah, they're all -- they
2 were relatively minor. I called -- I also talked with
3 Cheryl over a couple of things where there was some, let's
4 call them, typographical errors, which we resolved the
5 problem on that.

6 But then I added this bunch of -- some were
7 mainly editorial, but they don't -- they're fairly minor.
8 So I could go ahead, with the understanding that these
9 changes will get made.

10 CHAIRPERSON FROINES: Tobi.

11 DPR ASSISTANT DIRECTOR JONES: This is Tobi
12 Jones.

13 Roger, I understand from Cheryl that she had
14 received your comments and had no problem with those. And
15 so we will be making changes to those sections of the
16 document.

17 CHAIRPERSON FROINES: So if you're comfortable
18 and Roger's comfortable, then I think we're okay.

19 Charlie.

20 PANEL MEMBER PLOPPER: Yeah, there was -- I think
21 that discussion earlier about how the benchmark was
22 established, that needs to be clearly in there. But I
23 didn't have any other comments.

24 I did -- one thing that was of concern was in the
25 exposure document. It really doesn't explain, well, to

1 me, why -- unless I didn't find it. I've looked for that
2 earlier, methyl parathion, why this was a comparable
3 study, because it only has one sentence in there on page
4 23 of her document. And I think some -- it needs to be in
5 both documents, it needs to be explained

6 CHAIRPERSON FROINES: So one point that -- one
7 major point is that there needs to be a discussion of the
8 methyl parathion vis-a-vis Methidathion -- the chemical --
9 and in the health effects document as well as the exposure
10 document.

11 And, again, I would ask Paul and you the same
12 question: Is that change something that the Panel wants
13 to have come back to it prior to approval or is it
14 something that could be made without hindering the
15 approval process?

16 PANEL MEMBER BLANC: No, no. My point would
17 rather be that I want the findings to also say that
18 clearly in the appropriate section. So I don't want that
19 to be an ellipse in the findings. That's okay with me if
20 we haven't seen their exact wording. Although I think you
21 had the commitment that it would be sent to you so that we
22 corresponded. I don't need to see a revised document.
23 But I do want the findings to reflect the content, which
24 is that the physical properties of the -- the surrogate
25 marker were appropriate to use it in that manner.

1 CHAIRPERSON FROINES: Well, I agree. What I'm
2 trying to do is to create a record so that everybody is in
3 agreement on the record. And that I believe that in fact
4 you can't have it in the findings unless it's in the
5 document --

6 PANEL MEMBER BLANC: Well, I think we've been
7 assured that it will be put in to the document, so that
8 satisfies me.

9 CHAIRPERSON FROINES: All right. I'm just double
10 checking to bring to closure.

11 PANEL MEMBER GLANTZ: Say yes.

12 DPR ASSOCIATE TOXICOLOGIST LEWIS: Oh, yes. I
13 didn't know.

14 Okay. Yes.

15 CHAIRPERSON FROINES: No, I wanted Paul to say
16 yes. And he's niggly-wiggling here. And so I -- we're
17 fine.

18 So in terms of other Panel members.

19 Stan?

20 PANEL MEMBER GLANTZ: I'm fine.

21 CHAIRPERSON FROINES: You're fine.

22 You raised a number of questions earlier.

23 PANEL MEMBER GLANTZ: Yeah, they've answered
24 them.

25 CHAIRPERSON FROINES: Kathy?

1 PANEL MEMBER HAMMOND: Okay.

2 CHAIRPERSON FROINES: Craig?

3 PANEL MEMBER BYUS: Fine.

4 CHAIRPERSON FROINES: Joe?

5 PANEL MEMBER LANDOLPH: Yeah, I sent my comments

6 June 23rd, 2006 --

7 UNIDENTIFIED SPEAKER: I can't hear you.

8 PANEL MEMBER LANDOLPH: Yeah, I sent my comments

9 back in June. They've all been answered.

10 CHAIRPERSON FROINES: Gary?

11 PANEL MEMBER FRIEDMAN: I have no major

12 scientific concerns. I did send out some editorial things

13 for readability for the findings, but --

14 CHAIRPERSON FROINES: We haven't got to the

15 findings yet. So we will --

16 PANEL MEMBER FRIEDMAN: No problem with the

17 report.

18 CHAIRPERSON FROINES: So at this stage then, we

19 need a motion to approve the document pending the changes

20 that we've just finished discussing.

21 PANEL MEMBER BLANC: Is that correct, John? I

22 thought usually we approved the findings. We don't

23 approve the document.

24 PANEL MEMBER GLANTZ: So moved.

25 CHAIRPERSON FROINES: No, we approve the

1 document.

2 PANEL MEMBER GLANTZ: So moved.

3 CHAIRPERSON FROINES: We have to approve the
4 document. That's the whole point.

5 PANEL MEMBER BLANC: I thought that -- Okay,
6 that's fine. Just a clarification.

7 CHAIRPERSON FROINES: The findings are just what
8 we communicate to the agency.

9 PANEL MEMBER BLANC: I see.

10 CHAIRPERSON FROINES: The document is what --

11 PANEL MEMBER BLANC: Well, then I'll second the
12 motion.

13 CHAIRPERSON FROINES: The document is what we
14 have to approve. That's our legislatively mandated
15 responsibility.

16 PANEL MEMBER BLANC: Fine. Then I was confused.
17 I'm sorry.

18 I second the motion.

19 CHAIRPERSON FROINES: Any discussion?

20 All those in favor of approval?

21 (Hands raised.)

22 CHAIRPERSON FROINES: The approval is unanimous.

23 And shall we take a ten-minute break? And then
24 we'll come back and we'll discuss the findings.

25 (Thereupon a recess was taken.)

1 CHAIRPERSON FROINES: We'll call the meeting
2 formally back to order.

3 I don't know whether it's useful to go to the
4 leads to start the discussion on the findings or whether
5 just to go around the room.

6 PANEL MEMBER GLANTZ: The leads.

7 CHAIRPERSON FROINES: All right. Let's do that.
8 Roger.

9 PANEL MEMBER ATKINSON: Okay. The only ones I've
10 looked at have to do with the atmospheric stuff. So I
11 would like to amalgamate 6 -- or propose to amalgamate 6
12 and 8. And add some stuff to the first -- at the end of
13 the first sentence in 6 put in ", with an estimated
14 lifetime of a few hours during daylight." And then move
15 all of 6 after the first sentence of 8. Delete the second
16 -- what is presently the second --

17 CHAIRPERSON FROINES: Wait, wait, wait. So
18 go -- do that a little slower.

19 PANEL MEMBER ATKINSON: Oh, okay. So move all of
20 6 after the first sentence of 8.

21 CHAIRPERSON FROINES: After the hydroxyl
22 radical --

23 PANEL MEMBER ATKINSON: -- "little is known about
24 the atmospheric fate of" whatever this compound is. And
25 then "in the atmosphere," bring in 6, delete what was

1 originally the second sentence of 8, and then delete the
2 last three sentences of 8. I don't see any point in all
3 this stuff about travel significant distance.

4 CHAIRPERSON FROINES: Can I ask you a question?

5 PANEL MEMBER ATKINSON: Yeah.

6 CHAIRPERSON FROINES: Are you going to -- two
7 questions: One, are you going to send me some language
8 for 6?

9 PANEL MEMBER ATKINSON: Yeah, I'll send you a
10 revised 6 amalgamated with 8 now. I'll send you an
11 e-mail.

12 CHAIRPERSON FROINES: Okay. Now, I have a
13 substantive question. That's procedural.

14 "Given the" -- the sentence reads, "Given the
15 complexity of the metabolism of Methidathion, further work
16 on the atmospheric products and toxicity is clearly
17 warranted."

18 PANEL MEMBER ATKINSON: It shouldn't be
19 metabolism. It should be -- well, given complexity of
20 Melathion's

21 CHAIRPERSON FROINES: Methidathion's --

22 PANEL MEMBER ATKINSON: Or Methidathion. I'm
23 sorry.

24 -- further work on --

25 CHAIRPERSON FROINES: Methidations's what?

1 PANEL MEMBER BLANC: Breakdown, isn't it?

2 PANEL MEMBER ATKINSON: Yeah, degradation.

3 "Given the potential complexity of the

4 degradation" -- "environmental degradation of

5 Methidathion" -- or "atmospheric degradation of

6 Methidathion" --

7 CHAIRPERSON FROINES: Okay. You'll send --

8 PANEL MEMBER ATKINSON: I'll send that --

9 CHAIRPERSON FROINES: You'll send that to me?

10 PANEL MEMBER ATKINSON: I will indeed, yes.

11 CHAIRPERSON FROINES: Because I do want to say

12 that further research on the products is necessary.

13 PANEL MEMBER ATKINSON: Yeah. Never be done.

14 But, yeah, sure.

15 CHAIRPERSON FROINES: But I think that we need to

16 call attention to where there may be other toxic products

17 of concern.

18 PANEL MEMBER ATKINSON: Sure.

19 CHAIRPERSON FROINES: Okay?

20 PANEL MEMBER ATKINSON: That's all I have,

21 because those are the only two I looked at.

22 CHAIRPERSON FROINES: Good.

23 PANEL MEMBER ATKINSON: Since I got one whole --

24 sure.

25 CHAIRPERSON FROINES: Charlie.

1 PANEL MEMBER PLOPPER: Well, I think on 9 and 10
2 there need -- we need to address that issue of why the
3 exposure to methyl parathion was used as a substitute in
4 terms of what we discussed earlier. But it's not in the
5 other document either. So --

6 CHAIRPERSON FROINES: So she's going to fix that
7 and send it to us. And I'll edit it.

8 What would you like to do? Would you like me to
9 send the revised findings to the Panel for final approval,
10 and then I'll send them off from there?

11 PANEL MEMBER BLANC: Yes.

12 PANEL MEMBER ATKINSON: Yes.

13 CHAIRPERSON FROINES: So that's our plan of
14 action.

15 So we're going to get material from DPR on the 9
16 and 10 issue that you just raised. And then you'll see it
17 again before the document goes out.

18 PANEL MEMBER BLANC: And I would actually suggest
19 that 9 and 10 be one point. It will avoid some confusion.

20 CHAIRPERSON FROINES: Yeah, that's what he said.

21 PANEL MEMBER PLOPPER: Yeah, that's -- I agree.

22 CHAIRPERSON FROINES: And would you remember to
23 send an e-mail to me saying combine them?

24 PANEL MEMBER PLOPPER: Yes.

25 PANEL MEMBER ATKINSON: Oh, I had one more

1 actually.

2 I think number 5 should be moved after the
3 present number 7. Then all the environmental -- it will
4 be together. Five will become 7, and 6 and 8 would be
5 combined into what is presently 8.

6 CHAIRPERSON FROINES: Well, then I would move 7
7 down to where we're starting to talk about health effects
8 down at 11, because 7 is really about health effects and
9 it doesn't belong where there -- so I'm going to move 7
10 to the previous -- 7 before 11.

11 PANEL MEMBER ATKINSON: Okay. That solves that
12 problem then.

13 CHAIRPERSON FROINES: And what did you want to
14 do?

15 PANEL MEMBER ATKINSON: No. In that case, having
16 done that, that's okay. If you moved 7, that's fine.

17 CHAIRPERSON FROINES: Okay. Charlie.

18 PANEL MEMBER PLOPPER: I was pretty happy with
19 the rest of it. I think it questions how much detail to
20 put in there. But I think if we have that -- we might
21 want to add a section in here when it gets into the
22 document about the approach to the benchmark and selecting
23 the doses. But otherwise I don't have too much more to
24 comment on this.

25 CHAIRPERSON FROINES: How do people feel about

1 that? Do you want to add a section on the benchmark
2 methodology?

3 PANEL MEMBER PLOPPER: I was trying to figure out
4 where to put it in here, just because it's such a
5 confusing issue.

6 PANEL MEMBER BLANC: I thought it was in there
7 where --

8 PANEL MEMBER PLOPPER: Well, I didn't -- well,
9 maybe, but -- it talks about MOE and MLE and --

10 CHAIRPERSON FROINES: I would almost suggest that
11 we wait on the OEHHA DPR document that's going to come a
12 little bit later -- not too much later hopefully -- that
13 will clarify that as a statement of policy.

14 Is that reasonable, Tobi, rather than put it in
15 these findings?

16 PANEL MEMBER GLANTZ: I think that's --

17 PANEL MEMBER PLOPPER: That's a better idea.
18 Yeah, that's a much better idea.

19 PANEL MEMBER GLANTZ: Yeah, it's really a
20 separate issue. So I don't think it should go in these
21 findings.

22 PANEL MEMBER BLANC: Well, I think I would argue
23 that there should be a statement here that although it did
24 not drive the findings, a very similar value was arrived
25 at using a modified benchmark approach.

1 PANEL MEMBER GLANTZ: I agree with that. I
2 thought you were saying something different.

3 CHAIRPERSON FROINES: Do you want to draft that?

4 PANEL MEMBER GLANTZ: I thought you were raising
5 the issue generally. I think putting in what Paul said is
6 a good idea.

7 CHAIRPERSON FROINES: Go ahead. What were you
8 saying?

9 PANEL MEMBER BLANC: I thought it was in here.
10 So...

11 CHAIRPERSON FROINES: I don't think it is.

12 PANEL MEMBER PLOPPER: No, I didn't see it in
13 here.

14 Maybe just a statement that -- because they
15 match. We could probably do that.

16 CHAIRPERSON FROINES: A statement that what?

17 PANEL MEMBER PLOPPER: Just what Paul said, I
18 think would be to put it in somewhere maybe at the end of
19 the discussion of MOEs, like 18.

20 CHAIRPERSON FROINES: Carolyn, would you send me
21 a sentence or two that says that the basis of the -- the
22 ultimate basis was the -- what am I trying to say?

23 PANEL MEMBER GLANTZ: -- was the LOEL?

24 CHAIRPERSON FROINES: -- was the benchmark.

25 PANEL MEMBER GLANTZ: No, it wasn't the ultimate

1 basis.

2 CHAIRPERSON FROINES: It wasn't. You're right.

3 Was the --

4 PANEL MEMBER BLANC: Where it needs to be, John,

5 is --

6 CHAIRPERSON FROINES: -- is a conclusory

7 sentence.

8 PANEL MEMBER BLANC: It's in point 14 where we

9 say, "The no-effect level" -- this is where it should

10 be -- "selected for evaluating acute exposure was .18

11 milligrams based on the reduction of acetylcholinesterase

12 in the cerebral cortex of male rats."

13 CHAIRPERSON FROINES: Well --

14 PANEL MEMBER BLANC: There should be a sentence

15 that follows that says, "However, a similar value was

16 obtained using" --

17 PANEL MEMBER GLANTZ: -- benchmark methodology.

18 PANEL MEMBER BLANC: -- "a modified benchmark

19 methodology."

20 CHAIRPERSON FROINES: However, a --

21 PANEL MEMBER GLANTZ: Well, I wouldn't say,

22 "However." I would just say, "A similar value is obtained

23 using benchmark methodology."

24 CHAIRPERSON FROINES: Is that okay with you?

25 DPR ASSOCIATE TOXICOLOGIST LEWIS: You would say

1 something, that this corresponds to the benchmark dose
2 response at 15 percent if you want to --

3 CHAIRPERSON FROINES: Well, wait a second. No,
4 I'm writing down what I'm going to put in. And you're
5 talking faster than my brain can function.

6 So I'm saying, "A similar value was obtained" --

7 PANEL MEMBER BLANC: -- using benchmark
8 methodology. And I think that's enough.

9 CHAIRPERSON FROINES: You're too close to it.
10 You wanted to add the complexity.

11 DPR ASSOCIATE TOXICOLOGIST LEWIS: Be specific,
12 yeah.

13 CHAIRPERSON FROINES: Thanks.

14 So far I'm expecting material from you and from
15 Roger. So that's -- I just need to remember that.

16 All right. Randy.

17 DPR SENIOR ENVIRONMENTAL RESEARCH SCIENTIST

18 SEGAWA: Excuse me, yeah.

19 Lyn Baker just pointed out that Finding No. 9 is
20 factually incorrect.

21 Finding No. 9 is referring to ambient air
22 monitoring. But it should be referring to the application
23 site monitoring at the walnut orchard as in Finding No.
24 10.

25 CHAIRPERSON FROINES: Should I take out 9?

1 DPR SENIOR ENVIRONMENTAL RESEARCH SCIENTIST

2 SEGAWA: You could take out 9 or combine it with 10.

3 CHAIRPERSON FROINES: What?

4 DPR SENIOR ENVIRONMENTAL RESEARCH SCIENTIST

5 SEGAWA: You could either take out 9 or combine 9 and 10.

6 CHAIRPERSON FROINES: We already did that.

7 PANEL MEMBER BLANC: You're just saying it's not

8 ambient. You mean its application site monitoring, not

9 ambient air monitoring?

10 DPR SENIOR ENVIRONMENTAL RESEARCH SCIENTIST

11 SEGAWA: Yeah. Actually that first sentence in number 9

12 after the comma where it says, "but unanticipated changes

13 in meteorology," that part is the part that's incorrect.

14 CHAIRPERSON FROINES: So take out "but

15 unanticipated... made it likely that the monitoring did

16 not capture the highest concentrations"?

17 DPR SENIOR ENVIRONMENTAL RESEARCH SCIENTIST

18 SEGAWA: Correct.

19 CHAIRPERSON FROINES: But it's --

20 PANEL MEMBER PLOPPER: No, it's ambient --

21 DPR SENIOR ENVIRONMENTAL RESEARCH SCIENTIST

22 SEGAWA: That's the part that's true about --

23 CHAIRPERSON FROINES: But is it the meteorology

24 that is the issue or is it --

25 PANEL MEMBER PLOPPER: No, it's the type of

1 monitoring.

2 PANEL MEMBER BLANC: Randy, are you trying to say
3 that in fact there are two separate things: One is that
4 there is ambient monitoring, which we did use which is
5 based on four sites in June and July; and in addition to
6 that there's a sentence missing which says, "Site
7 monitoring which had been done in 1993" -- or something, I
8 don't know what it was -- "was unacceptable because of the
9 meteorology"?

10 DPR SENIOR ENVIRONMENTAL RESEARCH SCIENTIST

11 SEGAWA: Correct.

12 PANEL MEMBER BLANC: So actually I think that --
13 do you follow that?

14 Well, there is ambient data that is used that's
15 based actually on the actual product. And then there
16 was -- in addition to that there was site monitoring which
17 we couldn't use because of the meteorologic. And that's
18 from a different date and a different site.

19 CHAIRPERSON FROINES: Well, let me just say,
20 application -- is it application --

21 PANEL MEMBER BLANC: No, that was ambient --

22 CHAIRPERSON FROINES: -- air monitoring -- excuse
23 me.

24 What's the word? Is it ambient or is it
25 application site?

1 ARB AIR POLLUTION SPECIALIST BAKER: Lyn Baker
2 from the Air Resources Board.

3 Dr. Froines, if I could suggest. The phrase that
4 Randy mentioned that's after the comma in 9, that phrase
5 to the period belongs down in point 10. So the rest of 9,
6 "Ambient air monitoring was done at four sites in June and
7 July of '91 for the parent and the oxon," and then the
8 second sentence, "These monitoring data were used to
9 estimate seasonal and chronic human exposure," that's all
10 accurate. But then the part about the --

11 CHAIRPERSON FROINES: Wait a minute. So it's
12 ambient air monitoring?

13 ARB AIR POLLUTION SPECIALIST BAKER: Yeah.
14 There's nothing wrong with that. It's the part that says
15 that unanticipated changes in meteorology -- that wasn't
16 about the ambient. That was about the application.

17 PANEL MEMBER BLANC: And what were the dates of
18 that application monitoring --

19 ARB AIR POLLUTION SPECIALIST BAKER: In 1992, I
20 believe.

21 PANEL MEMBER BLANC: And how many -- was that a
22 single application?

23 ARB AIR POLLUTION SPECIALIST BAKER: This was a
24 single study, yes.

25 PANEL MEMBER BLANC: A single application --

1 ARB AIR POLLUTION SPECIALIST BAKER: --

2 monitoring, which was attempted to be upwind and downwind
3 of a single application.

4 CHAIRPERSON FROINES: Wait.

5 (Laughter.)

6 PANEL MEMBER BLANC: I understand it, John. Let
7 me try to explain it to you again.

8 CHAIRPERSON FROINES: No, it's not explaining it.
9 I'm trying to write the language that he's giving me. And
10 he's saying it too fast for my pen. We'll assume it's my
11 pen, not my brain.

12 (Laughter.)

13 CHAIRPERSON FROINES: Go ahead.

14 ARB AIR POLLUTION SPECIALIST BAKER: So I would
15 just remove that phrase from "but unanticipated," remove
16 that. And then that could go -- well, actually you don't
17 really even need it.

18 PANEL MEMBER BLANC: Yes, you do, because you
19 have to explain why you had to go to this alternative
20 thing.

21 ARB AIR POLLUTION SPECIALIST BAKER: That's true.
22 Intent.

23 PANEL MEMBER ATKINSON: Yeah, you could just move
24 that little section down after "used as surrogates to
25 estimate at bone levels of Methidathion."

1 PANEL MEMBER BLANC: No, you can't put it there
2 either. You have to have a sentence that says they did
3 the site monitoring which couldn't be used.

4 ARB AIR POLLUTION SPECIALIST BAKER: Yeah. So
5 you could start --

6 PANEL MEMBER BLANC: And you have to -- and this
7 is --

8 CHAIRPERSON FROINES: Okay. Who is going to
9 write this section?

10 ARB AIR POLLUTION SPECIALIST BAKER: Well, I
11 could --

12 CHAIRPERSON FROINES: You will write it and
13 you'll send it to me on an e-mail?

14 ARB AIR POLLUTION SPECIALIST BAKER: Well, I
15 could tell one sentence I think that would just capture
16 it.

17 CHAIRPERSON FROINES: Well, I don't want to hear
18 any more one sentence telling me. Write it in after --
19 when you leave the podium here, write it and give it to me
20 and that will be fine.

21 ARB AIR POLLUTION SPECIALIST BAKER: Will do.
22 Okay.

23 DPR ASSOCIATE TOXICOLOGIST LEWIS: And of course
24 you still want the sentence in there about the physical
25 properties?

1 PANEL MEMBER BLANC: Yes, we do.

2 DPR ASSOCIATE TOXICOLOGIST LEWIS: Because it
3 looks like that's where -- and 10 is where you want the --

4 PANEL MEMBER BLANC: Yes.

5 CHAIRPERSON FROINES: Yes. The idea was to
6 combine 9 and 10 and to correct it. That's all.

7 PANEL MEMBER BLANC: So you want to go around the
8 table, right?

9 CHAIRPERSON FROINES: That's right. And we left
10 off with whom? I'm sorry.

11 PANEL MEMBER BLANC: You've done the two leads.
12 And now you're going --

13 CHAIRPERSON FROINES: We finished the leads. And
14 so why don't we go to Gary, since --

15 PANEL MEMBER FRIEDMAN: Well, my suggestions were
16 mainly minor changes in wording. And I leave it to you to
17 look at them and evaluate them. For example, if we
18 take -- you know, if we take out -- have the changes that
19 Roger suggested, removing part of 8, then my question
20 about Sequoia National Park no longer applies.

21 So would you just take these and see in your
22 final draft whether any of them would still apply?

23 CHAIRPERSON FROINES: Okay. But just for the
24 sake of question, I -- I wrote in the sentence about
25 chromosomal aberrations, because there is a section on

1 genotoxicity and the data in that section is mixed. But
2 there is -- there was this finding in actual human beings
3 of chromosomal aberrations, so that I thought that it was
4 relevant to have that because it means that there is some
5 human evidence for chromosomal changes.

6 PANEL MEMBER FRIEDMAN: Oh, but it said men
7 working in fields. You know, exposed to this chemical
8 or -- what fields?

9 CHAIRPERSON FROINES: I see what your problem is.
10 Your problem isn't conceptual. It's --

11 PANEL MEMBER FRIEDMAN: Yeah, it's just men
12 working in fields. I mean, yeah, I work in the field
13 sometime, you know. It's just too vague.

14 CHAIRPERSON FROINES: Yeah, I took it right out
15 of the document. And I'll rewrite it. That's fine.

16 PANEL MEMBER BLANC: Gary, working field
17 epidemiology, isn't that where you --

18 (Laughter.)

19 PANEL MEMBER FRIEDMAN: I've actually sawed off
20 branches and -- you know, on a trail.

21 (Laughter.)

22 CHAIRPERSON FROINES: And I'll deal with -- I can
23 deal with all of these.

24 I do want to add -- this is another
25 epidemiologist. The metabolites -- some of the

1 metabolites are likely to have electrophilic chemistry
2 where they bind with sulfhydryl groups. And so I'll add
3 the sulfhydryl group and I'll say it's irreversible.

4 So the implication of the toxicity -- of the
5 potential toxicity is electrophilic chemistry may occur
6 through binding with thiol groups, or DNA for that matter,
7 and with potential irreversible toxicity.

8 PANEL MEMBER FRIEDMAN: Yeah, I mean that makes
9 it very specific. To me as a non-chemist, just reading
10 "potential electrophilic chemistry" made no sense. But
11 now that's very clear.

12 CHAIRPERSON FROINES: Yeah. You notice that
13 Charlie was nodding his head when I said that. So this is
14 one of these disciplinary problems of why we need -- why
15 the world needs more chemists.

16 Okay, Joe.

17 PANEL MEMBER LANDOLPH: You know, I think it's
18 pretty good. I don't want to add too much to it.

19 I was kind of intrigued that this is about -- I
20 was kind of intrigued that this chemical is about -- it's
21 about a tenth as carcinogenic as benzopyrene. I don't
22 know whether you want to work that in there or not. They
23 have a beautiful table on page 78. And maybe a comment
24 about the applicators and their risk of oncogenicity might
25 be useful. Very concise.

1 CHAIRPERSON FROINES: The what?

2 PANEL MEMBER LANDOLPH: The potential
3 carcinogenic risk to the applicators, which was mentioned
4 in the document.

5 CHAIRPERSON FROINES: We're not -- we don't deal
6 with occupation.

7 PANEL MEMBER LANDOLPH: Okay.

8 CHAIRPERSON FROINES: And I wouldn't want to
9 connect it to benzopyrene, frankly. I think the science
10 on Benzopyrene's a mess. And so that --

11 PANEL MEMBER BLANC: No one said anything about
12 the Spanish Inquisition. You don't want to mention all
13 these things.

14 (Laughter.)

15 CHAIRPERSON FROINES: Every textbook on the
16 carcinogenicity of benzopyrene's wrong.

17 PANEL MEMBER BLANC: Okay.

18 (Laughter.)

19 CHAIRPERSON FROINES: Paul.

20 I think Joe's done.

21 PANEL MEMBER BLANC: I have a generic question in
22 terms of the findings that -- and, that is, that it seemed
23 seemed to me these were more wordy than often. And I
24 wanted to know -- you know, longer. They were longer,
25 more detailed comments on various parts. And was

1 that -- was there a reason for that? Was there a
2 particular reason it was felt in this instance that it
3 needed to be as extensive as it is?

4 That's a generic question, because it does
5 flavor -- it would flavor my comments a little bit.
6 Because a lot of what -- I have a few specific things I'm
7 going to raise. But my general take on it was that it was
8 very lengthy and sometimes more narrative than it needed
9 to be. And let me -- and that can cause problems.

10 For example, if you look at point 12.

11 CHAIRPERSON FROINES: I think the answer to your
12 question is, it's better if we try and deal with it
13 specifically rather than generally, because it makes it
14 impossible to --

15 PANEL MEMBER BLANC: Let me give you an example
16 then.

17 CHAIRPERSON FROINES: Let's shorten it. I, for
18 example -- I'll tell you this, I put in number 3, which is
19 sort of a general statement about how exposures were
20 ascertained. I don't think that's necessarily germane. I
21 think that could go. But it was an attempt for clarity.

22 PANEL MEMBER BLANC: Okay. Well, point 12:
23 Acute, subacute, and chronic toxicity of Methidathion has
24 been evaluated on a variety of species -- stop. I don't
25 need to know that it was chickens and ducks and geese and,

1 you know, marmots and -- and although you do mention that
2 there were rhesus monkeys, I don't think otherwise there's
3 a point being made that there was another primate -- that
4 it included another nonhuman primate. And unless you
5 think that's important, I would just say a variety of
6 animal species.

7 And similarly, similar -- "signs of acute
8 intoxication are cholinergic in nature and should be
9 predominantly cholinergic in nature." The problem with
10 listing all those various signs is that some of them
11 aren't particularly in fact cholinergic in nature. And,
12 therefore, it's confusing to me when I read it.

13 CHAIRPERSON FROINES: Okay.

14 PANEL MEMBER BLANC: And I think it's sufficient
15 to say similar cholinergic signs occurred following
16 subchronic exposure." Without going...

17 CHAIRPERSON FROINES: Okay.

18 PANEL MEMBER BLANC: And then the whole thing on
19 pathological --

20 CHAIRPERSON FROINES: Wait a second.

21 Okay. You're pathological.

22 PANEL MEMBER BLANC: Yeah. So "similar
23 cholinergic signs occurred following subchronic exposure."

24 And then there's this whole list of various
25 pathological findings. Well, the one we really care --

1 the only two that we really care about is that
2 pathological observations included reduced brain
3 cholinesterase activity, period.

4 And I was completely confused by the statement,
5 "With the exception of increased prevalence of
6 hepatotoxicity" -- first of all, you just said in a
7 previous sentence that there were lesions to the liver. I
8 don't know what increased prevalence -- my understanding
9 was it was only in the chronic studies that
10 hepatotoxi -- that the liver appeared to be a target
11 organ. I mean that was the point, right?

12 CHAIRPERSON FROINES: Right.

13 PANEL MEMBER BLANC: That was where target organ
14 toxicity --

15 CHAIRPERSON FROINES: Right.

16 PANEL MEMBER BLANC: -- was seen.

17 DPR ASSOCIATE TOXICOLOGIST LEWIS: I think we've
18 seen some evidence in the subchronic studies. But --

19 PANEL MEMBER BLANC: But it wasn't the most
20 sensitive, it wasn't the target organ. The target
21 organ -- everything else was acetylcholinesterase. In the
22 chronic studies the target organ for toxicity was the
23 liver.

24 DPR ASSOCIATE TOXICOLOGIST LEWIS: Yeah. And
25 that varied from species to species. Like the rats were

1 more sensitive to the neurotoxicity and the dogs seemed to
2 be more sensitive to the liver --

3 PANEL MEMBER BLANC: But the lowest --

4 DPR ASSOCIATE TOXICOLOGIST LEWIS: Yeah, the
5 lowest.

6 And the dogs were more sensitive to the liver
7 toxicities.

8 PANEL MEMBER BLANC: I mean that needs to be -- I
9 think it needs to be said simpler without bringing in all
10 this other stuff that I don't really -- so, for example,
11 what does it mean lesions of the stomach and heart? Why
12 do I care about that? We never deal with it as being a
13 substantive --

14 CHAIRPERSON FROINES: Good.

15 PANEL MEMBER BLANC: On 14, when you say
16 Methidathion and its oxygen analog, do you mean its oxon
17 derivative? Is that what that's supposed to mean?

18 CHAIRPERSON FROINES: That's what's -- yeah.

19 PANEL MEMBER BLANC: Okay.

20 CHAIRPERSON FROINES: Shall we say oxon
21 derivative?

22 PANEL MEMBER BLANC: Yeah, I think so if that's
23 what you mean.

24 And later in that paragraph where it says a
25 significant reduction, I think in a document like this, if

1 you ever use the word "significant," if what you mean is
2 statistically significant, then you should say
3 statistically significant. Otherwise I don't know whether
4 you mean important or --

5 CHAIRPERSON FROINES: Where are you at? You lost
6 me.

7 PANEL MEMBER BLANC: Later in that same point 14,
8 the no-observed effect level was selected for evaluation.
9 It was based on significant -- on a significant reduction
10 in acetylcholinesterase activity in the cerebral cortex.
11 I assume that means a statistically significant reduction.
12 I think that was where that came from.

13 PANEL MEMBER GLANTZ: No, actually I would just
14 delete the word "significant."

15 PANEL MEMBER BLANC: One way or the other.

16 PANEL MEMBER GLANTZ: I mean I agree with you.
17 But I think rather than getting into -- because this
18 is -- this is a common complaint I have about the use of
19 the word "significant" in this kind of context. So I
20 think you could just delete the word and you made the
21 point.

22 PANEL MEMBER BLANC: Right.

23 CHAIRPERSON FROINES: Paul, would you delete the
24 sentence that -- it goes, "The cholinergic signs observed
25 in laboratory animals after acute exposure included lack

1 of muscle" blah, blah, blah, blah, blah. Because those
2 are still more cholinergic.

3 PANEL MEMBER BLANC: Yeah, everywhere you see
4 that I would just say there were, you know -- or just get
5 rid of the line altogether.

6 And also, by the way, in a similar vein, on point
7 7, where -- the end of point 7 and going on to page 3
8 where it says, "This is an important area for research
9 given evidence for chronic health outcomes including liver
10 toxicity in the dog on a chronic basis as well as
11 ulceration and inflammation of macrophages in the alveoli
12 in a chronic feeding study." First of all, it's not
13 inflammation of the macrophages. That doesn't make any
14 sense at all. You could say -- I mean it could be
15 inflammation because there were macrophages. I don't know
16 what it means.

17 But since I don't understand what this means and
18 since we don't anywhere else talk about a pulmonary effect
19 from chronic -- the chronic feeding study, which I assume
20 was not the target organ in any event, I mean I don't
21 know -- it just seems it comes right out of blue, unless
22 it's --

23 DPR ASSOCIATE TOXICOLOGIST LEWIS: Yeah, that
24 caught our attention. We were trying to figure out where
25 that came from too. So I haven't had time to look up

1 which study that was in. I think it was probably the
2 chronic dog study, but I'll have to look it up.

3 CHAIRPERSON FROINES: That's why I put it in.
4 What I'm -- the point I was trying to make is that we
5 focused on organo -- on cholinergic effects. But there
6 are apparently other effects of Methidathion that are of
7 more systemic importance.

8 PANEL MEMBER BLANC: So what I would say is this
9 is an important research area given evidence for chronic
10 health outcomes unrelated to acetylcholinesterase
11 inhibition. That's what you truly seem to be implying,
12 right?

13 CHAIRPERSON FROINES: Yes.

14 PANEL MEMBER BLANC: And just leave it at that.

15 And I have other little word changes, so I'll
16 just give you copies of my own notes on the document and
17 you can see them. Because I don't think -- some of them
18 we've already talked about verbally and the others are
19 just, you know, editorial things that aren't -- I don't
20 want to take up the time of the Panel.

21 CHAIRPERSON FROINES: I wanted to just make a
22 generic comment about that. I feel that one of the
23 greatest weaknesses in this whole field of pesticides is
24 that -- especially organo -- I mean with organophosphates
25 and others, is that people pay attention to cholinergic

1 effects, for example, but they don't do research on other
2 systemic effects that may be occurring. And so I wanted
3 to make a point in here that it's -- we have to look
4 beyond simply the cholinergic effects, because that's an
5 oversimplification of the toxicity of these compounds.
6 That was my point.

7 PANEL MEMBER LANDOLPH: Yes. And following up on
8 your point, at number 16, I wonder if -- I still would
9 like to make a small modification in the last sentence
10 where it says, "As a result the cancer potency was derived
11 and discussed below." Would you consider, "As a result an
12 intermediate cancer potency of 1.5 times 10 to the minus
13 4," with the units? It just nicely communicates that this
14 compound is in the middle of the range of carcinogenicity;
15 i.e., it's not innocuous. It was a significant
16 carcinogenic potential.

17 CHAIRPERSON FROINES: You're talking about having
18 in 16 --

19 PANEL MEMBER LANDOLPH: In 16, the very last
20 sentence, where it says, "As a result a" -- instead of "a"
21 make it "an intermediate" then "cancer potency" like you
22 have, and then just put in parentheses 1.5 times 10 to the
23 minus 4. And --

24 CHAIRPERSON FROINES: Where's the 4 come from?

25 PANEL MEMBER LANDOLPH: 1.5 times 10 to the minus

1 4, that's the unit risk from Table 24 on page 78.

2 Oh, unless you want to use the 5.3 --

3 PANEL MEMBER GLANTZ: 16 and 20 should really be
4 combined.

5 PANEL MEMBER LANDOLPH: Unless you want to use
6 the --

7 CHAIRPERSON FROINES: No, the risk assessment --
8 the --

9 PANEL MEMBER GLANTZ: Okay.

10 CHAIRPERSON FROINES: -- the hazard
11 characterization is one category and risk
12 characterization's another. And we generally keep them
13 separate.

14 PANEL MEMBER GLANTZ: Oh, okay.

15 CHAIRPERSON FROINES: And, Joe, you want me to
16 say, "As a result an intermediate cancer" --

17 PANEL MEMBER LANDOLPH: That's it,
18 intermediate --

19 CHAIRPERSON FROINES: -- "and discussed below."
20 But that's not where you would put the unit risk value,
21 because that's -- because the cancer potency is not the
22 unit risk value. Those are apples and oranges.

23 PANEL MEMBER LANDOLPH: You want the potency
24 factor -- potency it says in Table 24?

25 CHAIRPERSON FROINES: You can put, "The

1 carcinogenic risk from exposure of bystanders range from"
2 blah, blah, blah, blah, in 20, and then add a sentence
3 about the unit risk value.

4 Carolyn, would you -- is that okay with you?

5 DPR ASSOCIATE TOXICOLOGIST LEWIS: Yeah.

6 CHAIRPERSON FROINES: You're okay with that?

7 PANEL MEMBER LANDOLPH: I thought it made more
8 sense to put it in 16.

9 CHAIRPERSON FROINES: Well, but, see, in 16
10 you're talking about -- you're talking about the evidence
11 of carcinogenicity. You're not talking about -- that's
12 why we have 20, which is the risk characterization. See,
13 the hazard identification is 16; risk characterization is
14 20.

15 PANEL MEMBER LANDOLPH: Oh, okay. I mean -- it
16 could go either place. I don't care. Just so it gets in
17 there somewhere.

18 CHAIRPERSON FROINES: Well, just following the
19 traditional kind of approach to these things.

20 In fact, that's an interesting debate. Our
21 findings -- if you took hazard identification, exposure,
22 dose response, and risk characterization and we did all
23 our findings based on that sort of simplistic model, that
24 would be following the traditional risk assessment
25 paradigm. We don't do that, but one could. We generally

1 start off with exposure, go to health, go to risk. And
2 that's not the way people describe it in the red book.

3 PANEL MEMBER LANDOLPH: Yeah, my point was just a
4 fairly simple one, that it does have a significant
5 carcinogenicity and it falls in the middle quantitatively
6 on --

7 CHAIRPERSON FROINES: But that should be down
8 when we're talking about the risk assessment.

9 PANEL MEMBER LANDOLPH: That's fine.

10 DPR ASSOCIATE TOXICOLOGIST LEWIS: Going back to
11 7, if you -- I'm not sure if you're still going to include
12 those non-cholinergic effects there at that last sentence
13 that was confusing about ulceration and inflammation
14 macrophages. I've found the study, and actually there's
15 some words missing. There was -- it was a rat study and
16 there was ulceration and inflammation of the skin, and
17 then there was focal accumulation of foamy macrophages in
18 the alveoli. So it just needs a couple of words inserted
19 there to --

20 PANEL MEMBER BLANC: Well, I think we've decided
21 we weren't going to use --

22 DPR ASSOCIATE TOXICOLOGIST LEWIS: You could
23 delete it all? Yeah, I wasn't sure if that was the final
24 decision, was to delete that.

25 CHAIRPERSON FROINES: Right. We're not going to

1 leave in -- we're not going to get into the endpoints
2 themselves.

3 DPR ASSOCIATE TOXICOLOGIST LEWIS: Okay.

4 CHAIRPERSON FROINES: The important point is,
5 from my standpoint, is I want people to not just think of
6 OPs as only causing cholinergic effects, because it's
7 simply not true. You know, we put emphasis -- we go
8 looking for delayed neurotoxicity, but that's only one
9 other endpoint.

10 Compound that -- never mind. Never mind.

11 Craig, you're on.

12 I think Paul's finished.

13 PANEL MEMBER BYUS: I just have -- I think it's
14 very good. I was particularly pleased, under 19, this
15 here and also in the document, that you did a very nice
16 job trying to assess aggregate exposure. And I think we
17 should say that. You really tried -- well, you did. I
18 mean you didn't just try. You did a very nice job looking
19 at all kinds of potential exposures, diet and water, and
20 tried to add it all up and see if it -- for aggregate
21 exposures. It was a very nice extensive analysis of it,
22 which I was very pleased to see. And we really should say
23 that the aggregate is -- something about the aggregate
24 exposure from all these sources is unlikely to be much
25 greater than, et cetera.

1 CHAIRPERSON FROINES: Wait a second.

2 PANEL MEMBER BYUS: The aggregate exposure. Now,
3 that's from a single -- from -- if I could say it --
4 Methidathion. And that's in. -- but that's different than
5 all the organophosphates.

6 CHAIRPERSON FROINES: Yes.

7 PANEL MEMBER BYUS: Okay. And so I think we need
8 to make that distinction and to make that statement. So I
9 mean I think you did a very nice --

10 CHAIRPERSON FROINES: All due respect to Carolyn
11 and all the good work she's done. I wrote 19.

12 (Laughter.)

13 PANEL MEMBER BYUS: No, I mean in the -- I'm
14 talking in the document, 19 doesn't say about aggregate
15 exposure. But we should make -- I think we should make
16 two points here.

17 CHAIRPERSON FROINES: Send me an e-mail that
18 says, "Here's what I want you to add."

19 PANEL MEMBER BYUS: And then if I must criticize
20 19, and now I must --

21 CHAIRPERSON FROINES: Please do.

22 (Laughter.)

23 PANEL MEMBER BYUS: The last sentence --

24 CHAIRPERSON FROINES: At your own risk.

25 (Laughter.)

1 PANEL MEMBER BYUS: At your own risk, I know.

2 The last sentence, "Clearly a wide range of
3 pesticides and the issue of cumulative exposure to a range
4 of pesticide is a matter of great importance." I'm not
5 sure exactly what you mean by "clearly a wide range of
6 pesticides." There seems to be something missing here.
7 You mean -- I mean I know what you mean. But you mean
8 that there are --

9 CHAIRPERSON FROINES: I'll fix that. It's --

10 PANEL MEMBER BYUS: Is that valid, John?

11 CHAIRPERSON FROINES: Absolutely. It's a poorly
12 crafted sentence.

13 PANEL MEMBER BYUS: Okay. But I do think in
14 there -- and I will send you a few sentences about that,
15 because it would then be aggregate exposure versus
16 exposure to all of the different organophosphates, which
17 you didn't deal with, although you actually did mention
18 the EPA's attempt to deal with it in there. It is a
19 nice --

20 CHAIRPERSON FROINES: You're talking about
21 aggregate exposure?

22 PANEL MEMBER BYUS: Well, it was they tried --
23 which is what I asked them to do with sulfuryl fluoride
24 and fluoride, which they didn't do and they did, where
25 does was Fluoride can come? It can come from the water

1 and not just --

2 PANEL MEMBER BLANC: -- then get exposed by
3 various --

4 PANEL MEMBER BYUS: -- by various roots.

5 PANEL MEMBER BLANC: -- of this single pesticide.

6 PANEL MEMBER BYUS: -- of this single --

7 CHAIRPERSON FROINES: Right.

8 PANEL MEMBER BYUS: In other words just
9 because -- and we don't -- as I said, just because -- they
10 did see -- try to ask the question quantitatively that,
11 okay, ambient air may in and of itself might not be bad.
12 But if you added it on to all the other roots that you may
13 be exposed, it could be significant. That was the
14 question. And you did an excellent job trying to ask that
15 question.

16 CHAIRPERSON FROINES: No, me, me. She --

17 PANEL MEMBER BYUS: No, I mean in a document it
18 was --

19 CHAIRPERSON FROINES: We're talking about the
20 findings.

21 PANEL MEMBER BYUS: I know, I know. But I'm
22 saying -- but that's part of the -- part of the finding is
23 what is in the document.

24 CHAIRPERSON FROINES: I want you to write a
25 section that will provide your point.

1 PANEL MEMBER BYUS: Okay. Will do.

2 CHAIRPERSON FROINES: And whether or not they had
3 it in their document --

4 PANEL MEMBER BYUS: Dr. Froines did an excellent
5 job.

6 (Laughter.)

7 CHAIRPERSON FROINES: The point I'm trying to
8 make here is I think that an aggregate -- going back to
9 what Paul just said -- the issue of the aggregate exposure
10 is a finding separate from "people are exposed to multiple
11 pesticides."

12 PANEL MEMBER BYUS: That's correct. That's
13 absolutely correct.

14 DPR ASSOCIATE TOXICOLOGIST LEWIS: Even though
15 these aren't my findings, I was going to suggest maybe a
16 separate item there on your findings to aggregate as
17 opposed to cumulative.

18 CHAIRPERSON FROINES: He will. And that will be
19 great.

20 DPR ASSOCIATE TOXICOLOGIST LEWIS: Yeah.

21 CHAIRPERSON FROINES: And I'm glad everybody's
22 having such a good time.

23 PANEL MEMBER GLANTZ: At your expense.

24 (Laughter.)

25 CHAIRPERSON FROINES: At my expense.

1 And we didn't need that.

2 (Laughter.)

3 CHAIRPERSON FROINES: Roger we've been through.
4 Kathy.

5 PANEL MEMBER HAMMOND: (Shakes head.)

6 CHAIRPERSON FROINES: Stan we've been through.

7 PANEL MEMBER GLANTZ: I don't have anything yet
8 to add.

9 CHAIRPERSON FROINES: So with that in mind, can
10 we -- recognizing that all these are really wordsmithing
11 changes, there was not really a single conceptual issue
12 raised, everything is about how it was said rather than
13 what was said -- I think that's a fair statement.

14 So given that --

15 PANEL MEMBER BLANC: I would make the following
16 motion, that taking into account the anticipated editorial
17 changes in the document, the Panel approves the draft
18 findings as presented for Methidathion.

19 PANEL MEMBER GLANTZ: Second.

20 PANEL MEMBER HAMMOND: How long did you practice
21 saying that?

22 (Laughter.)

23 PANEL MEMBER GLANTZ: I second it.

24 CHAIRPERSON FROINES: Discussion?

25 All in favor?

1 (Hands raised.)

2 CHAIRPERSON FROINES: A Unanimous vote.

3 It's 10 minutes to 12.

4 We have two options. One is to break for lunch.

5 Second is to go ahead and -- I think, from talking to

6 Janette yesterday, it looks like the two next items on the

7 agenda are going to take about an hour -- about a half

8 hour each, I would guess.

9 And so the choice is: Do we want to break and
10 come back at 1 o'clock, or do we want to continue and
11 basically finish around 1 o'clock?

12 PANEL MEMBER BLANC: I personally think it would
13 be better to break since Stan has to go to a meeting now
14 anyway. And if he -- I assume that that meant you could
15 come back after your meeting. So why not have the full
16 Panel here if we can.

17 PANEL MEMBER FRIEDMAN: If we break, could it be
18 a short time like a half hour?

19 CHAIRPERSON FROINES: Stan, how soon can you be
20 back?

21 PANEL MEMBER GLANTZ: I don't know. The thing
22 starts at 12:15. I'm sure it won't go more than an hour.
23 It might go less.

24 CHAIRPERSON FROINES: Well, see, that's the
25 problem with Paul's suggestion, because that would mean

1 you're not going to be back till 1:15 and it's 10 to 12.

2 So we're not going to take an hour and a half lunch.

3 PANEL MEMBER GLANTZ: Right. Well, I
4 suggest -- personally, I don't know that I'll have a lot
5 about item 2, but I might have something about 3. And
6 maybe could we just do 3? That's going to be pretty
7 short, isn't it? And maybe we could get through 3 --

8 PANEL MEMBER BLANC: -- through 3 before we break
9 for lunch?

10 CHAIRPERSON FROINES: Somehow I'm --

11 PANEL MEMBER GLANTZ: Do three and then you can
12 decide what you want to do. Because I think I might get
13 volunteered for something on 3, so I would like to be here
14 when it's discussed.

15 (Laughter.)

16 PANEL MEMBER BLANC: And your meeting is -- you
17 don't have to really leave here until 12 after the hour?

18 PANEL MEMBER GLANTZ: I have to leave about ten
19 after.

20 CHAIRPERSON FROINES: So 3 is OEHHA and ARB.

21 PANEL MEMBER BLANC: Why don't we start that
22 then, John, and see what happens.

23 CHAIRPERSON FROINES: All right. Let's start 3.
24 I believe that 3 could --

25 PANEL MEMBER BLANC: Well, if we see that it's

1 going on and on, then we'll have to break.

2 CHAIRPERSON FROINES: I want to say one thing
3 about 3 at the outset. Janette, come up. And, that is,
4 that I would like to have the Panel at a future meeting
5 have a discussion about future toxic air contaminants, and
6 even bring in some expertise from outside this Panel and
7 have an intellectual discussion about future potential
8 TACs.

9 We're going to hear something from the two
10 agencies today. But I think this is an issue that has
11 broader implications, and it would be useful to have kind
12 of a mini-workshop on the topic if you'd all be willing to
13 do that.

14 Because it has been since 1998, with the
15 exception of ETS -- no disrespect intended -- but we
16 haven't had sort of a toxic air contaminant in an air
17 pollution sense since '98.

18 PANEL MEMBER LANDOLPH: Yeah. And particularly
19 that discussion we had over a cup of coffee, perhaps some
20 discussion about the potential linkage of pesticides with
21 neurodegenerative diseases should be worked in there.

22 CHAIRPERSON FROINES: And so we'll plan something
23 at some future meeting. So this is -- but why don't we
24 just see this as a kickoff for coming up with a list that
25 the Panel will know what our workload is going to be over

1 a period of five years and -- but, more importantly, to
2 have an in-depth -- I don't know what's funny -- but an
3 in-depth discussion of what do we -- what do we mean when
4 we're talking about toxic air contaminants? Remembering
5 that when even though there are 189 HAPs which have been
6 declared toxic air contaminants, that doesn't mean that
7 they've had risk assessments in the context of the 1807
8 process. Which you may have 2588 or Prop 65 risk
9 assessments, but the -- but the 1807 process, once it's
10 brought before this Panel, even if it's been grandfathered
11 as a TAC, if we approve it and it goes before the Board,
12 then that theoretically begins a regulatory process.

13 So the difference between what -- the 200
14 chemicals that Melanie's brought before us is they use
15 those risk assessments in the context of other
16 legislation, not in the context of 1807.

17 So that the acrolein risk assessment that we did
18 is not being now regulated as a TAC, based on a risk
19 assessment that Melanie's group has done. Is that an
20 accurate statement?

21 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION
22 MANAGER MARTY: I think it's close. I would say it's a
23 little murkier than that, because some of the numbers we
24 have derived under the SB 1731/AB 2588 have gone into
25 considerations of airborne toxic control measures. So

1 it's a little bit squishy. But for the most part, they
2 generally just get funneled right into stationary source
3 risk assessments rather than used generally or regionally
4 for ARB by ARB to look at regional issues.

5 CHAIRPERSON FROINES: But then I would -- this
6 question has come up before. Then if a chemical comes
7 before us as a risk assessment and the Panel's operating
8 under the assumption that this is a 2588 chemical, for
9 your purposes, I want -- I really do think it's incumbent
10 upon you to explicitly state this is also coming forward
11 for the purposes of 1807. So that we're not saying we're
12 doing 2588 risk assessments and this has nothing to do
13 with the regulatory framework that's been established
14 under 1807 which creates this -- in other words if it's
15 going to be used for 1807 regulatory processes, then we
16 shouldn't be bypassed.

17 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:
18 John, and the Panel wouldn't be on any -- and
19 this is going to happen with the hazardous air pollutants
20 that we had to add as tox -- that per legislation became
21 toxic air contaminants in 1992, 1993 timeframe. So some
22 of those won't have for the cancer effects unit risk
23 numbers.

24 If Melanie develops those under the guise of 2588
25 and brings them before you, all she'll have to say is

1 "Well, the ARB is going to be working on control measures
2 and they're going to be using this number for this 2588
3 compound, which is also a toxic air contaminant."

4 CHAIRPERSON FROINES: Yeah. I just want that to
5 be made clear to --

6 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:
7 And I think that can be done.

8 CHAIRPERSON FROINES: -- be made clear to us.
9 And, for example, this issue -- one major issue here -- I
10 objected to doing benzopyrene. And I was told that if we
11 regulate benzopyrene, we will be affecting all the PAHs.
12 If we control BAP, we'll be controlling all these other
13 particulate bound PAHs. And that was the rationale for
14 doing one PAH.

15 There has been no control strategy developed for
16 BAP. So not only did we not do it for BAP and all the
17 PAHs, but that has lain fallow since whenever we did BAP,
18 which was the early nineties I think.

19 So nobody -- so we all recognize that PAHs are
20 important toxic air contaminants. And nothing has
21 happened in terms of control strategies since the early
22 nineties when those were adopted.

23 And so there are issues of chemicals that
24 are -- that have either been identified by the committee
25 or chemicals that have been identified under 2588. And

1 all I'm asking for is -- not to put pressure on you -- but
2 really to have clarity in the process, so that we know
3 when a chemical comes before us, that if it's going to be
4 just 2588 or -- what's the other law? I forget the name.

5 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION

6 MANAGER MARTY: 1731. But that just modified 2588.

7 CHAIRPERSON FROINES: So if it's going to be a
8 2588, that's fine, we'd take it up. But if it's going to
9 also end up in her shop for control strategies, the Panel
10 should know that as well, I think. And --

11 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION

12 MANAGER MARTY: I think we can put it directly into some
13 of the toxicity summary, whether or not it's been
14 identified as a TAC under the Tanner process.

15 CHAIRPERSON FROINES: And so we might at some
16 point -- you bring a chemical under 2588, and the Panel --
17 you know, Stan may have had a bad day and he says, "Well,
18 why the hell don't we take this up as an 1807 chemical."
19 So we can come back on you and say, "Why isn't this coming
20 forward in an 1807 context?"

21 Am I clear?

22 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

23 Well --

24 PANEL MEMBER GLANTZ: Kathy wants to know what
25 2588 is.

1 CHAIRPERSON FROINES: It's a Hot Spots

2 legislation.

3 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION

4 MANAGER MARTY: I think part of the consideration is under
5 1807 if you're bringing a new chemical forward as a TAC,
6 there's -- what you guys are doing is looking at the
7 identification documents, that part of the process. So
8 the chemicals that got put in because they were HAPs
9 are -- you don't need to identify them. They're already
10 TACs. So it's kind of created this funny --

11 CHAIRPERSON FROINES: But we also --

12 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION

13 MANAGER MARTY: -- meshing of the two programs.

14 CHAIRPERSON FROINES: Well, if I can remind you,
15 we were sued by a whole bunch of companies under diesel,
16 and they went after the risk assessment. They didn't give
17 a damn about all the hazard identification. They didn't
18 like the fact that Stan and I were joking at the damn
19 meeting about this is all irrelevant.

20 PANEL MEMBER GLANTZ: Which is also a joke, for
21 the record.

22 (Laughter.)

23 PANEL MEMBER GLANTZ: We don't get sued again

24 CHAIRPERSON FROINES: For the next lawsuit we
25 are --

1 PANEL MEMBER BYUS: Still joking.

2 PANEL MEMBER GLANTZ: It's still a joke.

3 CHAIRPERSON FROINES: So the point is that the
4 risk assessment actually is what you guys end up in court
5 on. And so that needs -- the fact that something's coming
6 before us may end up in a court case and -- and it's going
7 to be an 1807 process because there are regulatory
8 implications as opposed to identification implications --
9 that really needs to be made clear to this Panel, I think.

10 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION

11 MANAGER MARTY: Okay. That's easy.

12 (Thereupon an overhead presentation was
13 Presented as follows.)

14 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION

15 MANAGER MARTY: I'm going to provide a brief overview of
16 the documents that OEHHA is producing that are coming down
17 the pike to this Panel. And at the present time they're
18 all being done under the Senate Bill 25 amendments to the
19 Toxic Air Contaminant Program.

20 And just a reminder, that OEHHA's major roles
21 under SB 25 include identifying toxic air contaminants
22 which may differentially impact children. And that's the
23 list that you all saw four or five years ago now.

24 And also we have to explicitly consider infants
25 and children when we're doing quantitative risk assessment

1 where data are available to do so.

2 --o0o--

3 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION

4 MANAGER MARTY: So the actual requirement of SB 25 is:

5 In evaluating health effects of toxic air
6 contaminants, OEHHA shall assess to the extent data are
7 available:

8 Exposure patterns of infants and children and how
9 they are different from that of adults.

10 Special susceptibility of infants and children.
11 And we have in turn interpreted that to mean toxicological
12 susceptibility.

13 Effects of co-exposure to other substances with
14 common mechanisms of toxicity. And they frequently are
15 not dated to do this.

16 As well as interaction of multiple air
17 pollutants. Again, frequently we have little data to work
18 on.

19 --o0o--

20 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION

21 MANAGER MARTY: Just to remind you that the -- this had
22 actually been updated. I'm sorry. There are 6 TACs
23 previously identified as differentially impacted children.
24 The first go-around we added diesel, dioxins, lead,
25 acrolein, and PAHs to the list. And then when ETS was

1 identified as a toxic air contaminant, in that process we
2 also added that to the list of TACs that differentially
3 impact kids.

4 --o0o--

5 CHAIRPERSON FROINES: Which one?

6 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION

7 MANAGER MARTY: ETS was added through the 1807 process.

8 --o0o--

9 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION

10 MANAGER MARTY: The law actually requires us to evaluate
11 annually 15 toxic air contaminants in order to ensure that
12 the risk assessments done for those adequately protect
13 infants and children.

14 This requirement triggered us to reevaluate our
15 risk assessment methodologies to ensure that the methods
16 we are using are child protective.

17 --o0o--

18 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION

19 MANAGER MARTY: Following evaluations of these additional
20 toxic air contaminants and after review by the Scientific
21 Review Panel, we can update that list of toxic air
22 contaminants that may disproportionately impact children.

23 --o0o--

24 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION

25 MANAGER MARTY: So in terms of the SRP, SB 25 is asking

1 you to update -- to review our updates to the list of the
2 TACs, to review our risk assessment methodologies and any
3 new or revised reference exposure levels or unit risk
4 factors.

5 --o0o--

6 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION

7 MANAGER MARTY: Currently we are working on our risk
8 assessment methodology, and we have been for some time, to
9 incorporate more specifically additional considerations
10 for infants and children.

11 The closest to the gate is the noncancer risk
12 assessment methods. And that's the methods we use to
13 derive our reference exposure levels.

14 Then the next document after that, which is a
15 little bit -- about six to eight weeks behind, is the
16 cancer risk assessment methodology. In that methodology
17 we are talking about weighting by age at exposure.

18 And then a ways away is our exposure parameters
19 update. We do have some exposure parameters in our risk
20 assessment methods that are based on data in children.
21 But we're updating that, because there's a lot more data
22 now since the last time we did that document, which was in
23 2000.

24 CHAIRPERSON FROINES: When do you
25 anticipate -- do you anticipate the three documents coming

1 to us at one time, separately, and what's the timeframe?

2 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION

3 MANAGER MARTY:

4 Separately. And the timeframe I think is the
5 next slide.

6 --o0o--

7 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION

8 MANAGER MARTY: The update -- we are updating the list of
9 TACs that may disproportionately impact infants and
10 children. We're using our revised methods, and sample
11 reference exposure levels using those revised methods, as
12 the way to get at that. And we started with the Tier 2
13 chemicals from the 2001 prioritization.

14 --o0o--

15 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION

16 MANAGER MARTY: We think that the noncancer risk
17 assessment methodology and the accompanying half dozen or
18 so reference exposure levels will undergo public review
19 starting in March. And we anticipate that the Panel will
20 get the document some time in the summer. It really
21 depends on the extent of public comment and the extent of
22 response and revision that we have to do.

23 The cancer risk assessment methodology, which
24 essentially is the weighting by age at exposure, we hope
25 the public review will start in May. And so SRP review

1 would be in the fall.

2 I don't want to surmize on the exposure
3 parameters because we really are pretty -- in the pretty
4 early stages of revising that document. But I'm guessing
5 at sometime in 2008, hopefully the first half of 2008.

6 --o0o--

7 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION

8 MANAGER MARTY: I did want to mention there's one other
9 item that may come to the Panel from OEHHA and, that is, a
10 unit risk factor for ethyl benzene. We have the document
11 now squared away, and are awaiting management review. And
12 hopefully we will get public review in the March to April
13 timeframe. Again, depending on the extent of public
14 comment and revision, we should get that to the Panel this
15 summer.

16 So that's a brief picture of what you folks will
17 see.

18 PANEL MEMBER GLANTZ: Melanie, just a minute,
19 because I've got to run off now.

20 But I talked to Melanie before. I believe I was
21 one of the leads on the methods for the original -- the
22 current methods. And I'm willing -- if the Committee
23 wants me to do that for this, I'll volunteer for that, for
24 the methods part.

25 What time do you want me to come back?

1 CHAIRPERSON FROINES: As soon as possible.

2 PANEL MEMBER GLANTZ: Okay.

3 PANEL MEMBER BLANC: Melanie, just for our
4 clarification and edification, can you remind us as to the
5 identities of the Tier 2 chemicals left over from last
6 time?

7 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION

8 MANAGER MARTY: Yeah, I should have brought that with me.

9 A couple ones off the top of my head. We have --
10 mercury was one of them, manganese is another, arsenic,
11 formaldehyde. There were I think 17. We're bringing 6 or
12 7 of those forward.

13 PANEL MEMBER BLANC: And can you -- you haven't
14 finalized which 6 or 7 you're bringing forward, or you
15 have finalized --

16 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION

17 MANAGER MARTY: We're in the process of finalizing that.
18 We're trying to work out some methods issues on one or two
19 of those.

20 PANEL MEMBER BLANC: So there are some that no
21 matter what the methods do, they're going to be coming to
22 us?

23 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION

24 MANAGER MARTY: Yeah. I think I can safely say that will
25 be arsenic, manganese, and mercury.

1 Andy, you got to help me out.

2 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT UNIT

3 CHIEF SALMON: I think we may be likely to see -- acrolein
4 is of course is a Tier 1 --

5 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION

6 MANAGER MARTY: Right.

7 PANEL MEMBER BLANC: I can't hear that at all.

8 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION

9 MANAGER MARTY: Okay. So acrolein is one that's coming
10 forward.

11 PANEL MEMBER BLANC: Acrolein was already on the
12 list.

13 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION

14 MANAGER MARTY: It's actually a Tier 1. It's already on
15 the list. But we're using it to apply our new
16 methodologies. You can see the difference between the old
17 and the new methodologies.

18 And also we were asked by the Air Board to relook
19 at that compound, because it's an important compound to
20 them. It's emitted in a whole lot of combustion
21 processes. And they repeatedly are asked by the air
22 districts for help looking at acrolein sources. So that's
23 one reason that one's also coming forward.

24 CHAIRPERSON FROINES: You've read the Bay Area
25 Management District document on airports and the acrolein

1 associated with it?

2 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION

3 MANAGER MARTY: Yes. That's --

4 PANEL MEMBER BLANC: Can I ask, as part of your
5 methodology have you come at the question completely from
6 the opposite point of view, which is what are compounds
7 for which we could anticipate there being a marked
8 difference between infants and children and adults?

9 Rather than starting at the point of, you know, what do we
10 think are -- are we already looking at for other reasons?

11 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION

12 MANAGER MARTY: It's a combination of both. I think the
13 metals -- we believe that there's going to be a marked
14 difference between --

15 PANEL MEMBER BLANC: Right.

16 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION

17 MANAGER MARTY:

18 -- developing organisms and material organisms.

19 For the aldehydes, we've asked aldehyde and
20 formaldehyde, there's just a lot of exposure. And we are
21 repeatedly asked by the air districts and the Air Board
22 for help on those compounds. So we wanted to get, you
23 know, a good handle on the reference exposure level for
24 those compounds using our new methodology.

25 PANEL MEMBER BLANC: Can I ask: In that list of

1 things you're looking at, where would methylene chloride
2 fall?

3 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION

4 MANAGER MARTY: It's not done yet. So it is still on the
5 Tier 2 list. But we didn't want to bring forth a whole
6 bunch of compounds at the same time for resource purposes,
7 both yours and ours, so we -- it's in the cue.

8 PANEL MEMBER BLANC: The reason I bring up
9 methylene chloride is because it's obviously metabolized
10 to carbon monoxide. And since the data for the
11 sensitivity of binding a fetal hemoglobin to carbon
12 monoxide is beyond question, isn't that a chemical for
13 which the preferential sensitivity of infants would
14 perforce be beyond question.

15 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION

16 MANAGER MARTY: I think that's a question for the -- it
17 did end up on Tier 2 primarily because there is not a lot
18 of exposure now to methylene chloride.

19 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION

20 MANAGER MARTY: But doesn't that come back to the thing
21 that we keep grappling with, which is cumulative exposure
22 for multiple sources? And since infants are clearly
23 exposed to carbon monoxide through many other sources,
24 isn't the incremental potential for exposure quite
25 relevant? And doesn't that give you also methodology for

1 looking at cumulative exposure perhaps in a cleaner way
2 than with many other things?

3 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION

4 MANAGER MARTY: Sure. It definitely could.

5 PANEL MEMBER BLANC: And then another chemical I
6 would ask you about, which I believe might have been --
7 might have bumped up to the Tier 2, and it's almost a
8 similar issue, which would be carbon disulfide. Given the
9 fact that this Panel has already grappled with the
10 breakdown of metam sodium to carbon disulfide, and even
11 though there aren't point source pollution hot spots from
12 manufacturing in the State of California, it would seem to
13 me that that would be -- and since it is a neurotoxin as
14 potent as the metals you're considering, it would seem to
15 me that that would also be one that would be timely.

16 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION

17 MANAGER MARTY: It's also in the cue.

18 PANEL MEMBER BLANC: And is there some point
19 where you would wish feedback from this Panel on
20 positioning within the cue?

21 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION

22 MANAGER MARTY: Well, sure. I mean when -- I think what
23 we tried to do first was respond to our multiple
24 stakeholders asking us to look at chemical X, Y, and Z as
25 well as the amount of data on certain substances in terms

1 of differences between infants and children and adults.

2 So -- and looking at our own resources --

3 PANEL MEMBER BLANC: Right. Because I think that
4 was maybe -- now, I don't want to put words in your mouth,
5 but when you use the word "brainstorming," it seemed to me
6 that that's what you were getting at, was an opportunity
7 at some -- in some form, and it may not be today, for us
8 to be able to give you in advance some of our thinking
9 about what comes to our minds, and so that we don't get in
10 a position of, you know, your group bringing to us five
11 compounds and we say, "Okay, yeah, fine with those five,
12 but" --

13 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION

14 MANAGER MARTY: -- what about the rest.

15 PANEL MEMBER BLANC: -- what about such and such?

16 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION

17 MANAGER MARTY: Yeah. Then I think that's a great idea.

18 CHAIRPERSON FROINES: Well, I think that the
19 workshop or mini-workshop or whatever we end up calling it
20 is exactly what -- this discussion is exactly the kind of
21 thing I wanted to have in it, because -- and I would like
22 to have it before you bring a bunch of chemicals to us.
23 Because if you remember in the first SB 25 process, it got
24 very contentious because we had a different point of view
25 than you guys had and we argued back and forth. And if we

1 could have a workshop ahead of time and talk it through
2 and provide you with the input from the Panel, then when
3 you come back formally it makes the process a much
4 smoother, I think. And so I think it's really valuable to
5 have this. And I --

6 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION
7 MANAGER MARTY: Could we do that for our next batch and
8 not hold off the six that we have, possibly seven, from
9 your review?

10 CHAIRPERSON FROINES: The answer to that is
11 clearly yes, you know, at your peril of course. But, yes,
12 sure.

13 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION
14 MANAGER MARTY: I mean part of the reason is --

15 CHAIRPERSON FROINES: But why don't you let us --
16 give us some information on what those six are going to
17 be, and we can give you even informal feedback. So if
18 somebody has something that's just going to send them up
19 the wall, you can at least have some pre-notice that
20 that's --

21 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION
22 MANAGER MARTY: Yeah. I mean part of the reason for
23 bringing forward examples was to -- when you develop a
24 methodology or revising methodology, it's hard to see
25 where the holes are until you try to apply it. So that's

1 what we've been trying to chug along doing.

2 PANEL MEMBER BLANC: The other part that -- I
3 know we talked about at the time of the first five
4 chemicals. But there was a presumption that was a
5 presumption in your previous methodology that substances
6 which are teratogenic or fetotoxic are, by definition,
7 substances to which infants and children are more
8 sensitive. Is that -- am I paraphrasing or is that
9 essentially --

10 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION

11 MANAGER MARTY: No, that -- that's essentially it. We
12 looked for developmental toxicity.

13 PANEL MEMBER BLANC: And in your summary slides,
14 for example, that's not directly alluded to.

15 So in the document, which is going to be
16 discussing the methodology, the systematic methodology,
17 will that issue be taken on explicitly or is simply going
18 to be implicit?

19 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION

20 MANAGER MARTY: It's -- this part is pretty implicit,
21 because the document that we're revising is actually the
22 risk assessment methodology. So if there are
23 developmental toxicology studies on a compound, we'll
24 automatically look at those to see if they should be the
25 basis of a reference exposure level.

1 We talk about -- there's a section of a document
2 that we actually pulled forward from that prioritization
3 document that talks about why infants and children might
4 be more susceptible or might be the most susceptible
5 population to a specific toxicant.

6 PANEL MEMBER BLANC: No, I meant more -- so
7 there's no where in this document that's going to say that
8 by definition if a compound is developmentally toxic,
9 therefore children are by definition more sensitive --

10 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION

11 MANAGER MARTY: I don't think we've said that.

12 PANEL MEMBER BLANC: -- ipso facto?

13 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION

14 MANAGER MARTY: I don't think we said that. And part of
15 the reason is sometimes developmental toxicity is not the
16 most sensitive endpoint for a compound. That it's
17 actually --

18 PANEL MEMBER BLANC: Well, that's always the
19 case, that you may not -- that's like saying if something
20 causes asthma in children, we're not going to talk about
21 that because something -- you know, asthma may not be the
22 endpoint that's most sensitive. I mean I don't think
23 that's the point. The point is that if there was no other
24 toxicity to a chemical but it's developmental toxicity
25 that suggested a sensitivity -- a vulnerability of infants

1 and children, you would find that it was -- that children
2 were more --

3 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION

4 MANAGER MARTY:

5 -- differentially impacted --

6 PANEL MEMBER BLANC: -- affected than adults; is
7 that correct?

8 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION

9 MANAGER MARTY: I think it's fairly safe to say that. And
10 in part --

11 PANEL MEMBER BLANC: Is there --

12 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION

13 MANAGER MARTY: -- if there's irrevocable developmental
14 toxicity, even though it may occur at higher doses, that's
15 a -- you have to weigh that against whatever endpoint
16 might occur in adult at a lower dose that's irreversible.
17 So you end up having to weigh those issues as well.

18 CHAIRPERSON FROINES: Well, I think --

19 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION

20 MANAGER MARTY: And clearly then the worst endpoint is
21 going to be that irreversible developmental --

22 CHAIRPERSON FROINES: But you're getting into
23 something that's too hypothetical. And it's case
24 specific. And I think Paul is arguing that there -- you
25 want to avoid the ideological framework that a

1 developmental toxicant is -- by definition demonstrates
2 greater risk than adult toxicity.

3 PANEL MEMBER BLANC: What I'm -- well, I wasn't
4 saying one thing or the other. I do think that there are
5 some social legal ramifications to the policy. But what I
6 do think is you -- I don't think it's going to be helpful
7 not to be explicit. I think that if you leave some of
8 these things go unsaid, it is going to lead to later
9 confusion. Now, there may -- unless there are some
10 statutory reasons why you can't say them. For example, if
11 legal counsel of your agency has told you that in fact you
12 can't argue fetal toxicity because a fetus is not an
13 infant, and the only way you could argue it is to the
14 extent that you show that -- or there's some particular
15 way you have to argue it in terms of the legal mandate,
16 then I think you should try to map that out in your
17 methods.

18 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION
19 MANAGER MARTY: Well, I think we did that with the
20 prioritization process -- the document -- the
21 prioritization document.

22 I have to say that the agenda actually had that
23 incorrect. We're not updating the prioritization
24 document. We're updating risk assessment methods. And
25 that was very confusing on the agenda what it said we were

1 talking about today. And we did go through all those
2 issues in that document, and have not gone back to any of
3 those issues. So could we revise --

4 PANEL MEMBER BLANC: So can you tell us what is
5 an example of a methods issue that you are dealing with?

6 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION

7 MANAGER MARTY: Yeah. If you have, for example,
8 information that the toxicokinetics of a compound is
9 different in an infant than it is in an adult and that it
10 impacts the concentration of the ultimate toxicant at the
11 receptor, then you need to consider that when you're doing
12 your risk assessment for that chemical. That's one
13 example of where there is a good reason to say there's
14 differential toxicity between infants and children and
15 adults. There's one example.

16 If you have something that's a developmental
17 neurotoxicant, it might produce transient neurotoxicity in
18 a mature organism, but an irreversible neurodeficit in a
19 young -- when exposure occurs in a young organism. That's
20 clearly a differential impact. Those are the kinds of
21 things that we looked at.

22 PANEL MEMBER BLANC: So is something that causes
23 birth defects differentially a toxin for infants in
24 children as compared to adults?

25 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION

1 MANAGER MARTY: Yes.

2 PANEL MEMBER BLANC: Why is that?

3 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION

4 MANAGER MARTY: Yes, it could be because the --

5 PANEL MEMBER BLANC: Wouldn't the birth defect be
6 with you for life?

7 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION

8 MANAGER MARTY: Well, I think we did -- we went through
9 all of this back in 2001. But I don't think we're coming
10 out and making a statement to that effect, in part because
11 it just depends on what the dose response data look like.
12 Is the alcohol differentially -- does it differentially
13 impact children at environmental exposures? The answer's
14 probably no. If you're an alcoholic mother, the answer is
15 probably yes, because you're going to get fetal alcohol
16 syndrome. So I don't think that it's useful really to
17 argue too much about that in generalities, because you're
18 going to have to make chemical by chemical decisions on
19 that.

20 CHAIRPERSON FROINES: So the answer is that
21 there's not a generic statement to that effect?

22 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION

23 MANAGER MARTY: No.

24 CHAIRPERSON FROINES: I had -- are you finished?

25 PANEL MEMBER BLANC: I think I understand. I

1 don't think -- I don't think I'm fully sanguine about it,
2 but I -- I have a better sense of the direction that
3 you're going, I think. And I will just have to see the
4 document in practice to get a sense. Because the examples
5 that you gave were also so generic as to be not anything
6 beyond what you did before too. So if there's some nuance
7 to it, if you're going to start taking it up to the level
8 of, you know, is sulfonation versus glucoronidation
9 critical to detoxification in a manner that would make
10 sulfonation less effective, then you better think about
11 childhood toxicity, because that level -- and that's a
12 level that's more sophisticated than the level that was in
13 your original programmatic document -- then I guess I
14 understand what it is you're trying to do.

15 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION
16 MANAGER MARTY: Yeah, that is more what we're trying to
17 do. We're really not updating our prioritization for
18 assessing impacts -- differential impacts on kids. We're
19 really looking at: How do we generate these reference
20 exposure levels? What things have we considered? What is
21 our default method? And is our default method adequate to
22 account for these differences in kinetics and dynamics?

23 PANEL MEMBER BLANC: Okay.

24 CHAIRPERSON FROINES: Just two quick comments.

25 First, there's a growing literature on acrolein

1 at this point, which I assume that you know. There's lots
2 of stuff in the chemical research in toxicology on addicts
3 and what have you. So the evidence on acrolein is
4 growing, growing, growing.

5 The second thing I wanted to ask you about, which
6 is not entirely dissimilar with Paul, is Cory-Slechta at
7 New Jersey has done this really brilliant work, where
8 she's looked at -- she's got a Parkinson's model -- mouse
9 model, and she's looked at -- if you postnatally expose
10 mice in their mouse model to manab and paraquat, and then
11 if when the mice are in adulthood you expose them to manab
12 and paraquat again, you are off the charts in terms of the
13 effect in terms of Parkinson's incidence.

14 And so clearly in in utero or postnatal exposure
15 is having an effect which creates a long-term effect in
16 the adult. And it seems to me that one would argue -- I
17 would argue anyway, that that postnatal exposure to those
18 two pesticides is in fact an example of something that,
19 whatever the mechanism may be, creates a greater risk in
20 the offspring even though it may not be manifested till
21 adulthood.

22 And so that field -- that whole field of in utero
23 or postnatal exposure having long-term effects in the
24 adult seems to me to be an area that is -- since the
25 science is developing in this area, it's something that

1 you guys should pay -- be attentive to in the SB 25
2 methodology.

3 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION

4 MANAGER MARTY: Yeah, we are aware of a lot of those types
5 of studies where there -- basically people are trying to
6 study the fetal or early-life origins of adult disease.
7 And at this point, it's not simple to use those
8 generically in a generic risk assessment paradigm. You
9 have to -- it definitely has to be chemical specific.

10 CHAIRPERSON FROINES: Right.

11 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION

12 MANAGER MARTY: And even then there are not a lot of
13 studies where you can define the dose response associated
14 with that type of phenomenon.

15 And at the same time there are all these new
16 types of toxicity, if you want to call them that, that are
17 being brought out that no one's ever dealt with; you know,
18 that epigenetic mechanisms, for example, of vinclozolin in
19 the rodent model where you have all of these very odd
20 changes depending on when exposure occurs in a very narrow
21 window. You have all these adult diseases happening in
22 the animals before they're actually old. So these kinds
23 of toxicity are really important in thinking about SB 25.

24 But, you know, it doesn't fit the traditional
25 risk assessment paradigm, that's for sure.

1 CHAIRPERSON FROINES: Yeah. But it seems to me
2 that half the science we do derives from the 1970s, and
3 it's about time we got to the 21st century in some
4 respects.

5 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION

6 MANAGER MARTY: I would agree.

7 CHAIRPERSON FROINES: I mean we -- you know, you
8 read these documents and they look at genotoxicity and
9 look at traditional tests from the '70s. And that's not
10 where molecular biology is today. And so we are so
11 rudimentary at some level in some of the ways we approach
12 some of these things. and I just think we need as we
13 develop new policy -- in a sense, policy related
14 documents, we need to look at the emerging science as
15 well.

16 I think that's fair, Charlie. Don't you think?

17 Janette, I think Melanie is done.

18 We're really looking forward to the chemicals
19 that you're bringing forward.

20 Should we break for lunch?

21 Let's break for lunch.

22 Sorry, Randy.

23 Let's be back at 1:15.

24 (Thereupon a lunch break was taken.)

25

1 substance that we're not looking at at the moment. So as
2 early as possible would be good for us.

3 CHAIRPERSON FROINES: Tobi, realizing that you're
4 sort of out of this loop right now, would spring -- a
5 workshop where we were talking about possible TACs work
6 okay for you?

7 DPR ASSISTANT DIRECTOR JONES: I believe so.

8 CHAIRPERSON FROINES: It would be mainly coming
9 from the Panel. So it wouldn't be like you would be
10 preparing.

11 Go ahead.

12 --o0o--

13 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

14 Okay. In terms of the priority that we're
15 supposed to be giving to pollutants for identification and
16 regulation, these are the criteria that we're supposed to
17 be using to do the prioritization. And these are elements
18 of our prioritization methodology as well.

19 --o0o--

20 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

21 This slide shows the flow diagram for -- once a
22 substance is identified as a toxic air contaminant, a
23 needs assessment would be prepared in terms of whether or
24 not we need to control that pollutant. And this is the
25 process that we would use to do that.

1 PANEL MEMBER BLANC: So, for example -- Paul
2 Blanc here -- for diesel exhaust, which was identified as
3 a toxic air contaminant and then the findings of that
4 document were supported by the Scientific Review Panel --
5 approximately three years ago?

6 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:
7 Oh, it was 1998.

8 PANEL MEMBER BLANC: So it's eight years ago.
9 How far since then has that gone in this process?

10 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:
11 Oh, there's many, many control measures -- diesel
12 control measures that have been adopted since that time.
13 And I'll be showing you a very long list. And control
14 measure development is ongoing. But initially what was
15 done was to prepare a diesel particulate matter control
16 plan where the staff laid out various control measures we
17 thought that we could do. And then we -- and made a
18 commitment for a certain reduction in diesel PM in that
19 plan. And then we've been carrying out that plan. And
20 there's several diesel measures -- diesel particulate
21 control measures that I can show you.

22 PANEL MEMBER BLANC: That were adopted?

23 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:
24 That have been adopted. And I have a slide for
25 your information that lists them that you can keep.

1 PANEL MEMBER BLANC: Okay.

2 CHAIRPERSON FROINES: This is an aside.

3 The diesel issue that you've been working so hard
4 on is a very interesting one, because we really made a
5 mistake, in my view, when we only listed particulate as
6 the TAC. Because the BAP concentration in southern
7 California is one -- the naphthalene concentration in L.A.
8 is 15,000 times that of BAP and it's in the vapor phase.
9 So it's theoretically not included in control strategies
10 for diesel, which was a terrible mistake as far as I'm
11 concerned. It's a real error on our part.

12 Roger's actually --

13 PANEL MEMBER ATKINSON: But it doesn't come all
14 from diesel. Gasoline and vapor --

15 CHAIRPERSON FROINES: No, but a lot does come
16 from diesel.

17 PANEL MEMBER HAMMOND: More than one of the
18 aldehydes in diesel exhaust, and has -- that would be more
19 than --

20 CHAIRPERSON FROINES: Well, that's a different --
21 that's an issue --

22 PANEL MEMBER HAMMOND: But it's another reason --
23 it's a problem. You cannot control it.

24 CHAIRPERSON FROINES: Yeah, yeah, yeah, yeah.

25 Anyway, so that that's an interesting issue that

1 we would want to -- may want to talk about later, is what
2 other vapor phase compounds are of consequence.

3 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

4 All right. Now, I'm going to move into the focus
5 of our work for 2007 and '08.

6 --o0o--

7 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

8 What we plan to do is develop a toxic air
9 contaminant identification plan. And these are the major
10 elements of that plan. And as we go through, there will
11 be items that we would be bringing to the Scientific
12 Review Panel and there will be steps with our Scientific
13 Review Panel leads on these various elements.

14 CHAIRPERSON FROINES: Who was the exposure lead?

15 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

16 Roger -- I don't know if Roger ever was formally
17 identified as a lead. But we'd been working with Roger
18 Atkinson -- Dr. Atkinson and Dr. Byus and you, Dr.
19 Froines. So I don't know if you want to change that, but
20 that's how it was a year ago.

21 CHAIRPERSON FROINES: So Stan is the lead on the
22 methodologic issues. And we're the TAC -- okay.

23 --o0o--

24 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

25 On the next couple of slides I just wanted to

1 talk about the approach that we would use for developing
2 the plan and then the roles of the SRP leads. And so we
3 had talked about already the Scientific Review Panel's
4 workshop on substances of public health concern that you
5 might want OEHHA and ARB to further investigate, that may
6 not be candidates right now on our list in the program.
7 And so if we could do that some time in the spring, that
8 would be good.

9 And then after that meeting, we would meet with
10 the SRP leads on any new substances that we would add to
11 the candidate list. Because, you know, we have an older
12 list and we need to see if there's other things out there
13 that might be of concern and interest to us. That would
14 also be in the spring -- later spring.

15 Then meet with the SRP leads on revisions to the
16 methodology. We need to finalize the methodology. And we
17 would do that in the summer.

18 And then we would apply the methodology and get a
19 list of top priority substances. But as you know, when we
20 just plug in the numbers and the scoring for that
21 prioritization methodology, then we have to go back and
22 look and see -- and make a judgment of from that ranking,
23 which is sort of a screening ranking, what really makes
24 sense to enter into the program for identification. And
25 so we would be doing that, working with the leads, and

1 then we would write a report up that would go out for
2 public review. And then that report with the responses to
3 public comments would come to you in early 2008.

4 So that's our proposed plan.

5 --o0o--

6 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

7 And although we haven't finalized the
8 prioritization methodology and we haven't done all the
9 research and work with OEHHA that we need to do on these
10 compounds, for various reasons these have -- in our older
11 methodology, some of these compounds have come up as being
12 higher priority. And then there's three substances on
13 there that -- for various reasons that are also of
14 interest. They're not currently candidates, but ones that
15 we would be putting a little bit more work into in terms
16 of this update that we're doing.

17 PANEL MEMBER BLANC: So let's me see if I
18 understand it correctly.

19 These are all -- anything that appears on this
20 list is something which has not up until now been listed
21 as a toxic air contaminant? Or some of these are things
22 which are already listed as toxic air contaminants by
23 virtue of being on another list which was grandfathered in
24 as all being toxic air contaminants?

25 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

1 No, Dr. Blanc. These are not toxic air
2 contaminants. This process will be to determine which
3 ones ought to be identified as toxic air contaminants and
4 go through the process.

5 PANEL MEMBER BLANC: But there's a long list --
6 well, then maybe I -- just so I'm clear. There is a long
7 list of materials though which are titularly toxic air
8 contaminants but for which there's been no document
9 specifically developed, isn't that correct?

10 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

11 That's right. And in terms of the plan that
12 we're going to do, one of the elements will be to deal
13 with the substances that have been formally identified,
14 take a look at those, talk to OEHHA and see which health
15 values need to be developed for those.

16 But it gets a little confusing. But there is an
17 element of the plan that deals with formally identified
18 toxic air contaminants. But these are not.

19 PANEL MEMBER GLANTZ: I think -- I mean apropos
20 to what John said earlier, I think you ought to at least
21 think about diesel exhaust gases and whether that ought to
22 be considered. I mean I don't know one way or the other.
23 But I had sort of assumed that if you're controlling the
24 particulates, that's going to affect the gases. But
25 I'm -- people are nodding their head no. So I think it's

1 worth at least thinking about whether it ought to be added
2 in.

3 PANEL MEMBER BLANC: Well, but -- can I go back
4 to this other point?

5 Isn't it something of a fundamental question as
6 to whether the priority should be searching for new things
7 to add to a lengthy list of toxic air contaminants for
8 which nothing has ever really been done anyway versus
9 going to the list of things which are toxic air
10 contaminants and identifying those substances for which
11 there need to be health documents that would tend to
12 finally drive some kind of regulatory action on the part
13 of the Air Resources Board?

14 Isn't that I a fairly fundamental question?

15 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

16 Yes. And I mean I know I believe that, you know,
17 we need to look at things that are -- you know, there's a
18 lot of new chemicals introduced every year and in -- we
19 need to keep up with what might be out there.

20 PANEL MEMBER BLANC: None of these are novel
21 chemicals.

22 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

23 No, but they haven't been dealt with either.

24 PANEL MEMBER BLANC: Yeah, but there's probably a
25 reason why they haven't been dealt with. And that

1 still -- that doesn't answer my question really.

2 PANEL MEMBER GLANTZ: Well, if I can -- I mean I
3 think Paul's making a good point. And I think what you
4 ought to do -- I've worked on the earlier two
5 prioritization documents. And I think a way of reframing
6 what Paul's saying is in deciding which things you're
7 going to move forward, you should not only consider things
8 that are not yet listed as TACs, but also all those HAPs
9 where there hasn't been a risk assessment.

10 And so the things that you're going to move
11 forward would be either things that haven't been listed as
12 TACs at all or things that are on the list where there
13 isn't a risk assessment yet but it would make sense to do
14 one.

15 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

16 And we can do that. We can do that.

17 PANEL MEMBER GLANTZ: And I think that's the way
18 to address the point you're raising.

19 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS: In
20 fact that would be the process we would use to do that
21 work, Melanie, right?

22 PANEL MEMBER ATKINSON: I would like to make the
23 point that the --

24 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

25 That's what we've done in the past.

1 CHAIRPERSON FROINES: Roger.

2 PANEL MEMBER ATKINSON: The gasoline engine
3 exhaust will probably pick up about 40 or 50 of the HAPs,
4 which are all present in gasoline exhaust. And which is
5 probably one of the major routes to exposure for many of
6 them.

7 CHAIRPERSON FROINES: I may not have understood
8 what Stan said, but I thought Paul was saying something a
9 little bit different. And, that is, the point that I made
10 about the fact that we did BAP and nothing ever happened
11 as a result in terms of regulation, I thought that's what
12 he was referring to.

13 And I'll give you the best example. Having been
14 on this Committee for so long, the second chemical we ever
15 dealt with way back in the early eighties was ethylene
16 dibromide. And at that time there was no ethylene
17 dibromide being used in California whatsoever. Or if
18 there was any being used, it was like that. So we
19 actually named it as a toxic air contaminant, and that
20 goes on a nice list. But nobody used it so there was
21 nothing done about it. It was a complete waste of the
22 Panel's time.

23 And so I think what Paul's implying -- correct me
24 if I'm wrong -- is that what we would like to do is take
25 up things that we think something will then happen

1 subsequent to the naming of them as TACs.

2 PANEL MEMBER GLANTZ: Right. But in fact, again
3 as the person who sort of -- I was, if you remember back
4 as the second longest serving member -- I was the one who
5 pushed through the whole idea of the prioritization
6 documents because of that. And so now the protocol in
7 bringing things forward, it's a combination of exposure
8 and potential toxicity that gets things shoved up to the
9 top of the list. So I think what you're concerned about
10 is addressed in the current protocol.

11 And what I was interpreting what they're talking
12 about doing is going back in light of new information and
13 revisiting the prioritization document that we approved a
14 while ago to see what should be pushed to the top of the
15 list for -- you know, so that you're dealing with things
16 that are both, you know, toxic and also -- or potentially
17 toxic and are important.

18 I mean the other one I remember from way back in
19 the beginning was where people wanted to do coke oven
20 emissions because there was a lot of data, but there were
21 no coke oven emissions in California. And I think that
22 was the first one that got dumped off the list as a result
23 of this Panel's recommendations on prioritization
24 procedures.

25 ARB STATIONARY SOURCE ASSISTANT DIVISION CHIEF

1 BARHAM: There's another -- this is Bob Barham. There's
2 another interesting situation we're facing, and tertiary
3 butyl acetate is a good example of that. Where we have
4 chemical companies out there designing chemicals that are
5 basically nonphotochemically reactive, where there's
6 little or no health information, but there may be some
7 suggestive information that the compound's a problem. And
8 we're getting a lot of pressure to say it's okay to use
9 this compound as a substitute for photochemically reactive
10 compounds in situations where you could end up with a very
11 wide spread use of something that you don't know what the
12 final outcome's going to be in terms of health effects.
13 And there are a couple of others -- they're escaping me
14 now -- that we're looking at. But TBAC is a prime example
15 of one where Lyondell Chemical in particular is really at
16 the forefront of trying to get us to okay that.

17 PANEL MEMBER BLANC: Well, I think what I see as
18 being a reasonable approach -- and it should be explicit
19 and not simply presumed -- is that at the same time that
20 you will apply your algorithm that you develop for
21 identification of TAC candidates, you will also
22 simultaneously take the entire list of existing TACs for
23 which there have not been health assessments and
24 separately plug them into the same algorithm and bring to
25 this Committee the top players on that list for our

1 consideration.

2 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

3 That's good. That's fine.

4 PANEL MEMBER BLANC: Because that's not implicit

5 in the -- explicit in this or implicit in what you're

6 saying. And if I see one and not the other, I won't be

7 happy.

8 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

9 Okay.

10 PANEL MEMBER GLANTZ: Oh, that's ugly.

11 (Laughter.)

12 CHAIRPERSON FROINES: I think that's a good

13 discussion.

14 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

15 Okay.

16 CHAIRPERSON FROINES: The interesting thing is

17 there is this tension. Originally the Toxic Air

18 Contaminant law was based on this notion of the belching

19 smokestack, right? I mean it was a point source issue.

20 And then we thought that we dealt with National Ambient

21 Air Quality Standards differently, that that was a

22 different kind of category.

23 But I think I would argue -- and I hope Roger

24 would too -- that there are compounds that are formed as

25 national -- as California ambient exposures that deserve

1 to be treated as TACs, even though we might also be
2 developing PM2.5 or ultrafine or whatever standards, and
3 you can say, well, if we have an ultrafine standard we'll
4 deal with the small particles that have nitro PAHs on
5 them. And that may all be true, but it doesn't mean that
6 we shouldn't also address those classes of compounds,
7 carbonyls being the most obvious -- another obvious one,
8 even though they're not belching out of a smokestack
9 someplace, and that they represent a different -- the
10 exposure is different.

11 And I'd also argue -- and I hope Kathy would
12 agree to this -- and that is that the -- it is worth
13 thinking about generic groups of chemicals like carbonyls.
14 Carbonyls react with proteins. Carbonyls react with DNA,
15 and they do it irreversibly, as we've said today about
16 Methidathion. And so it's worth thinking about compounds
17 whose toxicity derives from certain functional groups that
18 are highly toxic, and not to always be dealing with one
19 chemical at a time.

20 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

21 Okay. And on --

22 PANEL MEMBER GLANTZ: Is that something -- is
23 that something you think you'll be able to do?

24 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

25 Melanie says the attorneys have argued no in the

1 past. But I don't know. That's something we'd have to
2 address. I mean we've looked at --

3 CHAIRPERSON FROINES: Well, you looked at diesel.

4 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

5 -- nickel and nickel compounds.

6 PANEL MEMBER HAMMOND: That's different.

7 PANEL MEMBER BLANC: I think that one way that
8 you can deal with it, at least obliquely, is that in
9 whatever methodology prioritization you determine, that
10 there should be a point or a weighting or a scoring that
11 chemicals get if they are in a class which is known to
12 have a class effect. And I don't think that's anything
13 you've ever done. So if something is metabolized to an
14 electrophilic intermediate, they should get some weighting
15 on that regard; or if something is a polycyclic, they get
16 a little plus just for that, you know. That you don't
17 want to overwhelm the scoring system with that, but there
18 should be some category which is class effects in the same
19 way that the FDA would look at a beta blocker in a certain
20 way comparing it to other beta blockers and --

21 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

22 I know we were looking at bio-accumulation.

23 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION

24 MANAGER MARTY: Right now we have overarching effects in
25 that prioritization, like genotoxicity. Many of these

1 would get a plus because they're genotoxic. And, you
2 know, you have to be a little bit careful about double
3 counting and over-exaggerating so that it hops up in
4 priority unnecessarily.

5 So we don't necessarily have it as a class
6 effect. But if there is a toxicity that's consistent with
7 that class of compounds, it will be picked up in another
8 way, you know, are they genotoxic, neogenic --

9 PANEL MEMBER BLANC: But that's only based on
10 their chemical testing on that particular chemical which
11 shows it is genotoxic. You don't have something for "We
12 don't know, but every other chemical that looks like this
13 is genotoxic." In fact, you don't have anything like
14 that. And the bigger problems that happen with your
15 weighting is that things tend to get weighted because
16 there's more data about them; and things for which there's
17 less data but which may be all the more reason that they
18 need the kind of close study is -- you know, the data are
19 missing. And that's why -- maybe, again so it's not
20 double dipping, it should be a default weight that you get
21 if there are no specific data available. But I think
22 that's what John was implying.

23 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION
24 MANAGER MARTY: There are a couple of actually -- more
25 than a couple -- of programs that the FDA has used and the

1 EPA is trying to use that look at functional groups on
2 organics, and have tried to correlate that with specific
3 types of toxicity. We could look into that. They're not
4 obviously a hundred percent correct, but they are
5 interesting ways of looking at it.

6 So there are some software programs already
7 developed looking at that, for carcinogenicity,
8 reproductive and developmental primarily.

9 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

10 Okay. We can look at that.

11 And we agree with you that the methodology does
12 need to be updated for the reason that you said, where --
13 it was heavily weighted on exposure information
14 previously. And if you, you know, didn't know what the
15 inventory was, then it would get this low score. But then
16 it would have these, you know, tremendous health effects
17 but it would still score low. And so we're -- that's what
18 we're trying to fix, so that it's more balanced and it's
19 not -- you know, we're planning to delete the air
20 monitoring requirement, because very few have -- very few
21 compounds have that.

22 And so those are the kinds of balances that
23 we're -- and corrections that we're trying to make. And
24 also we wanted to add a component for children's health,
25 and that was never included in the earlier version.

1 On this slide I'm --

2 PANEL MEMBER GLANTZ: Can I just add --

3 CHAIRPERSON FROINES: Go ahead, Stan.

4 PANEL MEMBER GLANTZ: Back to this issue of class
5 effects. Because, you know, one of the frustrations of
6 being on this Panel is just everything takes a very long
7 time. And, you know, it might be worth going back to
8 ARB's lawyers and saying like, "If you were going to
9 address things in class effects, how would you do it?"
10 Rather than "Can we do it?" But just say -- you know,
11 find out -- or perhaps -- and if you hit a wall with that,
12 I mean maybe it would be sensible for a report to be
13 developed and brought to this Committee on why it would
14 make sense, assuming it does, to do it this way, that the
15 Committee could then consider and then forward on to
16 whoever might have to go and suggest the law be amended.
17 Because it seems -- I mean I'm not a chemist. But it just
18 seems to me that that would be a much more efficient use
19 of resources, which is a big issue.

20 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION

21 MANAGER MARTY: Yeah. I think it's sort of a mixed bag
22 what's happened to date, because we have the polycyclic --
23 hydrocarbons by virtue of being PONs listed. So that's a
24 class. And there are other classes that got listed as
25 HAPs and therefore they're TACs. And then when we do the

1 risk assessment piece for the identification, it gets
2 awkward because you have to do -- you have to report what
3 toxicity data there are available, and that becomes part
4 of the basis for identification. So you always run into
5 this messy data and in some cases no data for certain
6 members of the class.

7 So, for example, the BAP document we actually
8 also have 26 potency equivalency factors for other
9 carcinogenic PAHs that we had some data on which to base
10 an equivalency factor. And ditto the dioxins and furans.

11 So we can list the class, but the risk assessment
12 may not always be what you want it to be.

13 PANEL MEMBER HAMMOND: That was along the lines
14 of what -- some of my concern, was clearly the
15 prioritization comes from this combining exposure data and
16 toxicity data. And if you don't have toxicity data, then
17 it would go low in the priority list. But meanwhile I
18 would -- so that seemed like a problem. I mean it is a
19 problem.

20 On the other hand, how do you do a risk
21 assessment without toxicity data? And I mean -- and then
22 how do you deal with your tertiary butyl acetate issue,
23 you know? So they want to go to a substitute for which
24 there's no toxicity data. So you think you want to do
25 that and move it up on the TAC list. But can you do it at

1 all or not? And I'm not sure, you know, how to balance
2 that. But I think that one piece of that is for some
3 things -- if you look at the -- if a mode of action is
4 along the lines of what John is implying, the mode of
5 action is something that relates to a functional group,
6 you may be able to make analogies to functional groups.

7 Maybe, you know, what you're saying in terms of
8 when you do one compound that's in the group, at least
9 list the other compounds for which one can make the
10 analogies and say, "These things at least we think can
11 follow in some sort of order of magnitude effect."

12 But I think it's a big challenge. And I don't
13 know that there's a simple answer. But I think it's
14 something that I would encourage you not to run away from
15 but struggle in this process to try to address that.

16 CHAIRPERSON FROINES: When I was chairing the NTP
17 Carcinogen Committee, you know, we had to deal with vinyl
18 chloride, which had already been addressed; vinyl bromide;
19 and vinyl fluoride. And our committee voted unanimously
20 that vinyl fluoride should be considered a human
21 carcinogen based on the structure activity in
22 relationships.

23 So, you know, there clearly are chemical
24 structures which we would all feel pretty confident.
25 Alpha beta unsaturated aldehydes undergo Michael addition

1 reactions, and those are well known. Quinones are well
2 known. In other words there are classes of compounds for
3 which there's not much ambiguity about their toxicity.
4 And so not dealing with them is really eliminating
5 hundreds of chemicals for which we have pretty good
6 confidence in their toxicity.

7 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION

8 MANAGER MARTY: It could be part of a 2007 workshop.

9 CHAIRPERSON FROINES: I agree. I think that's
10 the way to do it. That's --

11 PANEL MEMBER HAMMOND: That's a good idea. But
12 in that case an action might be worthwhile. I don't know
13 if there's structures to do this. But if one could get
14 some toxicologists who do think about these issues to
15 really prepare some thought pieces about how one could
16 systematically do this or what kinds of criteria one could
17 use to start making some of those extrapolations, and do
18 like a background paper on that or something, if you can
19 do that.

20 CHAIRPERSON FROINES: That's up to us.

21 PANEL MEMBER HAMMOND: Okay. I just don't know
22 how to --

23 CHAIRPERSON FROINES: We'll do it.

24 Just one last point. And obviously, Melanie, I
25 don't need to tell you this. You know it better than I.

1 There's lots of new science developing that -- I cringe
2 every time I see a section in a document on, quote,
3 genotoxicity, because it's like -- it's like Bruce Ames in
4 1975. And it just makes me nauseous to think that that's
5 criteria we're using when in fact if you go to any
6 national meeting everybody's talking about snip, snip,
7 snip, snip, and non-genetic -- you know, non-genetic
8 cancers and what have you. And I can show you lots of
9 slides of beautiful plaque lesions in aortas in animals
10 based on exposures that nobody's taking that kind of thing
11 into account.

12 So that we really need to upgrade the science
13 that we evaluate.

14 Yeah, Joe.

15 PANEL MEMBER LANDOLPH: Yeah, I served on the
16 Science Advisory Board for the U.S. EPA and we did a
17 review of the Human Health Program. And we suggested to
18 them that they needed to accelerate their efforts to use
19 computational toxicology methods, which they're doing very
20 aggressively in the EU because they're just overwhelmed
21 with floods of chemicals and different congeners,
22 different classes. And there's no way that they can keep
23 up with it based on the laboratory database that exists
24 now and the flood of new things being synthesized. And so
25 they're going to look at what the EU is doing. You might

1 want to talk to them about that.

2 This is clearly -- the regulatory mandate is
3 almost infinite. And the knowledge base is somewhat small
4 compared to the mandate. So one way to try and make up
5 for that is to use computational toxicology, at least to
6 give you hints, which will help in the prioritization.

7 CHAIRPERSON FROINES: There's a bunch of articles
8 in Chemical Research in Toxicology that I could actually
9 send you, just to make it easier.

10 Go ahead.

11 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

12 Okay. We'll move on and talk about the status of
13 our toxic air contaminant control activities and the SB 25
14 evaluations that we're doing.

15 --o0o--

16 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

17 We've looked at the air toxic control measures
18 for dioxins, and they're listed on this slide. And the
19 evaluation is complete in terms of these control measures.
20 And we aren't recommending any other revisions to those
21 control measures for dioxins at this point.

22 For lead, we've looked at the control measure
23 that we had for lead. And we aren't recommending any
24 revisions at this point for that control measure. But
25 we're keeping the evaluation open because U.S. EPA is

1 reviewing the National Ambient Air Quality Standard. So
2 if that changes, then that might change, you know, what we
3 might need to do.

4 PANEL MEMBER BLANC: Why, out of curiosity, would
5 metal melting operations have been the only operation that
6 you looked at?

7 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

8 Because I know that in terms of sources of lead,
9 there's not a lot of major sources of lead out there. And
10 so I'm -- even though I wasn't involved in it, I think
11 this was probably one of the largest sources that we had
12 in the state, and that's why they picked that --

13 PANEL MEMBER BLANC: Well, it certainly would be
14 the largest in your Hot Spots program. But, for example,
15 I would guess that exterior house refurbishing in San
16 Francisco and Oakland and Berkeley and many other places
17 would be a very large source of ambient lead. Just an
18 offhand kind of question. But I mean I fully agree that I
19 think the dioxin exercise is probably, you know, a waste
20 of time.

21 But this seems to be a good example of how one
22 can get too hung up in only looking under the light post
23 for your keys because that's where the light is.

24 CHAIRPERSON FROINES: Well, a good example of
25 that. Have you looked at radiator repair in that context?

1 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS: I
2 don't know. Bob, do you?

3 ARB STATIONARY SOURCE ASSISTANT DIVISION CHIEF

4 BARHAM: No, I don't believe we have. But --

5 CHAIRPERSON FROINES: Most radiators that are now
6 produced are plastic. But when they're repaired, they're
7 repaired with lead. And clearly trucks' radiators are
8 lead. And so that's enormous source of lead exposure.

9 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

10 Well, We'll pass that information along.

11 ARB STATIONARY SOURCE ASSISTANT DIVISION CHIEF

12 BARHAM: But going back to your comment. I believe DHS
13 does have a program in place looking at lead paint
14 exposures and trying to minimize those already also.

15 CHAIRPERSON FROINES: There's also probably
16 somewhere between a hundred and a thousand Prop 65 suits
17 on various lead. But that's all ingested lead for the
18 most part I think.

19 --o0o--

20 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

21 Okay. This is a listing of the control measures
22 that we've adopted -- the Board has adopted for diesel
23 particulate matter. And we have other control measures
24 that we're currently developing, and I'll show you a slide
25 of those in a minute. So clearly in terms of diesel

1 particulate matter we're continuing on, and there is a
2 need for more controls.

3 CHAIRPERSON FROINES: Can I make one comment
4 about that, with diesel?

5 There are two kinds of diesel particles. Those
6 that you can trap with particulate filters and those that
7 are formed when the vapors -- hot vapors come out of the
8 tailpipe and the hot vapors condense and form what we call
9 semi-volatile particles. And particle traps don't deal
10 with -- don't deal with volatile vapors that condense to
11 form particles. And we think the toxicity of those
12 volatile particles is very high.

13 So that one big problem in the control strategies
14 is everybody wants to put in particle traps. And particle
15 traps doesn't deal with particles created by the
16 condensation and nucleation of vapors. And it's like this
17 enormous opportunity lost that -- you can't control diesel
18 without controlling vapors coming out of the tailpipes.
19 And it just hasn't gotten the kind of attention that it
20 needs.

21 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:
22 Okay.

23 PANEL MEMBER GLANTZ: And those particles are
24 part of what we identified, right?

25 CHAIRPERSON FROINES: Right.

1 PANEL MEMBER HAMMOND: Yes, because they're --
2 railroad workers are exposed to them.

3 PANEL MEMBER GLANTZ: Yeah. So that's an
4 important detail for the lawyers.

5 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

6 We're currently working on a draft report for
7 acrolein. So it hasn't been completed yet, and we don't
8 know what our final recommendation will be. We do know
9 that, and agree -- Dr. Froines, you had talked about it
10 earlier with Melanie that there's a lot of new information
11 on health effects of acrolein. And so Melanie and our
12 staff are working together on relooking at those acute and
13 chronic numbers, RELs for that. And so we won't really be
14 able to finish our assessment until we kind of know what
15 more needs to be done and whether the current RELs that
16 we're looking at are correct or not.

17 CHAIRPERSON FROINES: This is --

18 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION

19 MANAGER MARTY: Yeah. I should add that the new data is
20 not necessarily usable in terms of the REL development.
21 So the new data is looking at different toxicities. And
22 so I don't want you -- the expectation of the Panel to
23 think that we're going to walk in here with all this
24 adduct data and somehow be applying it in our noncancer
25 risk assessment methods.

1 CHAIRPERSON FROINES: You mean it reflects some
2 new science.

3 But let me give you an example of one other
4 point. Acrolein is an alpha beta unsaturated aldehyde.
5 Gluteraldehyde is an alpha beta unsaturated aldehyde with
6 a methyl group stuck on it. That's the only difference.
7 And so there's a whole bunch of silliness when we look at
8 acrolein but we don't look at a compound which is
9 identical except for one methyl group.

10 And so one of the things that you should do is to
11 look at what are the alpha beta unsaturated aldehydes
12 and -- that have different names because they have
13 different substituents, because they all react by
14 attacking the beta unsaturation and forming irreversable
15 bonds with protein.

16 So that glutaraldehyde is one that you should
17 think about taking up because it's going to operate
18 identically to acrolein, with a lower vapor pressure
19 perhaps because it's got a methyl group. But it's an
20 example of understanding some of the simplest chemistry
21 that any sophomore organic chemist would understand.

22 PANEL MEMBER BLANC: So my question would be
23 that -- you had three -- you had six chemicals identified
24 under the Children's Sensitivity Act. One of them was
25 much later, ETS. But of the first five though, three

1 you've dealt with one way or the other. What, for
2 example, made dioxin be more a priority for the needs
3 assessment than acrolein? Was that an internal -- was
4 that an internal organized decision or you --

5 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

6 Well, I think the work started simultaneously.
7 But there's a lot of differences in what we know about
8 those two compounds. And acrolein's -- you know, there's
9 uncertainty in the monitoring methods, the test methods
10 for that compound. It's very reactive. There wasn't
11 really good emissions information. I mean it's just a --
12 it's just a more difficult compound to tackle. And major
13 sources of it are secondary formation and fuel combustion.
14 And so it's not very simple that you can just say, "Okay,
15 here's just one source category that we can go after to
16 control for that pollutant." I mean it's all fuel
17 combustion. So it's more difficult.

18 CHAIRPERSON FROINES: So when you --

19 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

20 So I think it's going -- so it's going to take a
21 little longer.

22 PANEL MEMBER BLANC: So a needs assessment --

23 maybe my problem is I don't understand exactly what a
24 needs assessment is in your world.

25 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

1 Okay. In our world a needs assessment is: What
2 are the emissions? What are the health effects of this
3 pollutant? What are the sources of this pollutant? And
4 then we make a recommendation on how best can we further
5 control this pollutant? But also in the needs assessment
6 there would be -- you know, what all is being done in all
7 of our other programs that would also be controlling this
8 pollutant? And with the climate change work, we're going
9 to be looking at the carbon content of fuels. So we think
10 there there might be some, you know, control aspects to it
11 for this compound.

12 So those are the kinds of things that we need to
13 look at. And it takes longer.

14 PANEL MEMBER BLANC: Yeah, but -- okay. Then I'm
15 glad you're going into this, because it seems to me a
16 fundamental oddity.

17 Isn't the whole thing that you did when you bring
18 something to us with this lengthy detailed assessment of
19 sources of exposure and human health effects, isn't that
20 that part of that needs assessment? Why once the
21 Scientific Review Panel says, "We believe the science
22 behind this detailed assessment of human health effects
23 and sources of exposure is scientifically valid" would you
24 then go back and reassess the human health effects and the
25 sources of exposure? Wouldn't the needs assessment be

1 "Okay, we now realize this is a problem. As you said,
2 what other programmatic areas are already dealing with
3 this? And where do we have the greatest need" -- that
4 your needs assessment might say, "Where do we have the
5 greatest need for additional data?" But it wouldn't be
6 "We can't write the needs assessment because we don't have
7 the additional data." I mean that might be a finding of
8 your needs assessment. I don't understand what the --

9 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

10 Well, let me explain. I could explain.

11 This was a hazardous air pollutant. And so we
12 didn't do one of our comprehensive reports and go through
13 the identification process. So --

14 PANEL MEMBER BLANC: So you didn't have some of
15 it. Okay.

16 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS: So
17 on this one, you know, we kind of got handed this
18 pollutant, and so now we have to deal with it and
19 backtrack somewhat.

20 PANEL MEMBER BLANC: And then --

21 CHAIRPERSON FROINES: Which one are we talking
22 about?

23 PANEL MEMBER BLANC: Acrolein.

24 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:
25 Acrolein.

1 PANEL MEMBER BLANC: So then will that come back?
2 Will the health assessment part then come back to this
3 Panel for an RAC or whatever --

4 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:
5 Well, Melanie, you've run the original --

6 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION
7 MANAGER MARTY: Yeah, we actually already have reference
8 exposure levels for acrolein. But we are updating them
9 with our new methodology. And one of the reasons we're
10 updating them is because ARB's working on their control
11 package. And so they've been asking us, "Do you still
12 have confidence in your REL? Is there new data? What
13 about your new methods? Are you going to be relooking at
14 acrolein?" So that's why we did it as one of the first
15 ones.

16 PANEL MEMBER BLANC: And is the same thing also
17 true for polycyclics, that you didn't have the health
18 effects and exposure sources data done already?

19 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:
20 No, I think the primary focus was on the -- is on
21 the PAHs. And we have a draft, and that's going to be
22 released in the spring for public review. And the
23 recommendations are being decided upon as we speak. But I
24 do know that in terms of the data that they have from the
25 ambient air, they're saying a lot of the -- the

1 concentrations in the air are going down. And we have a
2 lot of, you know, particulate control measures going into
3 place that are impacting that.

4 But I can't tell you what the recommendation's
5 going to be at this time, because we don't know yet. It's
6 not completed.

7 And then the last one that we're working on is
8 environmental tobacco smoke. And we're also working on
9 the needs assessment for that one. And right now the
10 staff is going through looking at local and state
11 ordinances and what's been done around the world beyond
12 what California's already done to control secondhand
13 smoke. And then they're going to be preparing the report.
14 So it's not -- it's in progress, but it's not complete.

15 PANEL MEMBER GLANTZ: The other thing on that I
16 would suggest you -- which you're probably doing -- is
17 work with the State Health Department. Because there's
18 gotten to be a lot of interest in outdoor exposures in
19 California in the last couple of years, and they've
20 actually collected some more data. And one big issue is
21 in apartments and multi-unit housing, where the smoke goes
22 out one window and goes into the one above it.

23 So you should -- they've actually -- I was at a
24 conference a few months ago where they were actually
25 presenting some of the data. So you should -- if you're

1 not working with them, you should be.

2 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

3 Okay. I think that we are. I believe that we
4 are. But we'll make sure.

5 CHAIRPERSON FROINES: I don't want to prolong
6 things, but I can't let it go by. This notion that PAHs
7 are going down is -- I just think that that is really a
8 mistake to say that. And that I understand that there are
9 regulations going in which if adopted and if implemented
10 will cause changes, but you're also going to go from
11 15,000 trucks a year to 50,000 trucks a year at the Los
12 Angeles Port. And anybody who says you're going to triple
13 the number of diesel trucks, whatever the new regulations
14 are, and you're not dealing with the vapor phases and what
15 have you, you know -- believe me, benzopyrene isn't the
16 issue of concern of PAHs. It's naphthalene and
17 phenanthrene.

18 And so that all I'm saying -- and it's not to
19 beat up on you in any way, Janette. It's simply to say
20 the PAH issue -- in the last six years with the particle
21 centers we've shown atherosclerosis, neurologic disease,
22 developmental effects, asthma, we've shown at the existing
23 levels all these diseases that are going on as we speak
24 right now.

25 And to sort of say things are going to get better

1 when we've shown in the last five years all these new
2 health endpoints is like -- it's like wishful thinking.
3 And you're not going to get these old diesel trucks off
4 the road. It all depends on this notion that we're going
5 to have all these new diesel trucks on the road that's
6 going to make everything better. Well, you tell me how
7 many Mexican trucks are going to get off the road coming
8 to the Los Angeles Port that aren't 25, 30, 40, 50 years
9 old.

10 The notion of assuming that things are going to
11 get better because you've got regulations, one has to look
12 at the world of reality as well and think about that,
13 because the science of cardiovascular disease associated
14 with particulate matter has advanced so strikingly that at
15 the levels that currently exist it's going to be a hundred
16 years before that gets dealt with.

17 PANEL MEMBER GLANTZ: You know, just one other
18 point. Back to acrolein, is it really looks like acrolein
19 is one of the really important actors in terms of
20 cardiovascular disease too. So I think -- you know, I
21 know that you guys have been considering cardiovascular
22 disease more in your risk assessments. But we really need
23 to move beyond just cancer, because acrolein and a whole
24 series of these chemicals are now being shown:
25 1,3-butadiene and there are a couple others have been

1 really shown to be very atherogenic.

2 PANEL MEMBER BLANC: John?

3 CHAIRPERSON FROINES: Joe.

4 PANEL MEMBER LANDOLPH: I had a question about
5 this prioritization process. I was wondering. I guess
6 what has bothered a number of us is a rational way to do
7 it. And I was thinking for cancer, which is easier,
8 couldn't you take the toxicity potency factor, multiply it
9 by what you believe is ambient or what people are exposed
10 to, and just get like a simple hazard index, just a very
11 crude thing, and rank things by orders of magnitude, and
12 then just go and pick the ones off the top.

13 So for cancer that would be fairly easy to do, I
14 think. And then for toxicity I was trying to figure out
15 how to do it. And I guess maybe one way would be you
16 could divide the ambient concentration by the RfC or
17 something like that. So you could have quantitative ranks
18 of what was worth going after first.

19 CHAIRPERSON FROINES: We do have to keep in mind
20 that cancer's a rare disease and the -- and that the risk
21 from diesel, for example, for cardiovas -- for traffic for
22 cardiovascular disease is much higher than for cancer. So
23 that the fact that we haven't paid attention to
24 atherosclerosis and myocardial infarctions, and the risks
25 are higher than what we've been focusing on with cancer,

1 is an issue that we're going to have to deal with in the
2 future.

3 PANEL MEMBER LANDOLPH: Sure. And I agree with
4 what you just said. But, you know, my original point
5 still stands I think. You should be able to do these
6 quantitatively and get a ranking, whether it's for
7 cardiovascular disease or neurologic disease or cancer,
8 and go after the bad actors.

9 PANEL MEMBER BLANC: Although the point they were
10 making before is that often if they have the cancer
11 potency, they actually don't have the exposure data --
12 they have no ambient exposure data.

13 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS: Or
14 vice versa.

15 But where we have that information, we can take
16 it into account. We have a comment call-in also. And we
17 are trying to take into account cancer classifications,
18 the number of organs that are impacted, and all of those
19 things. And when we get this revision done, we'll be
20 working with the leads and then we'll be bringing it back
21 through you to just see if you have any other suggestions.
22 And it is a numerical ranking, a scoring. It will be
23 quantitative in that sense.

24 CHAIRPERSON FROINES: You've gotten a lot of
25 comments from the Panel -- and I want to move on as much

1 as possible -- because right now the Panel has taken
2 responsibility for coming back to you and saying, "Here's
3 what we think is important."

4 So you don't feel like you're getting beaten up
5 by us today at all?

6 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

7 No, no.

8 CHAIRPERSON FROINES: It's not intended.

9 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

10 No.

11 CHAIRPERSON FROINES: But all these issues
12 that -- the thing that's interesting to me is how much
13 things have changed in the past decade and how what we
14 thought was advanced science ten years ago is now just --
15 we're just so much further along. And so how you then
16 take -- you know, Janette, what it is is, how do you take
17 research and when does research become mature enough to be
18 used in a regulatory context? In other words, when is
19 research ready for prime time? And that's the kind of
20 issues that we're really getting at today. Because, you
21 know, I can tell you all sorts of fancy research findings.
22 But you would look back at me and say, "I can't use that
23 yet. It's not ready yet." And so that's the kind of
24 issue that we really need to come up with. But hopefully
25 we can suggest some research that's mature enough where it

1 does have regulatory --

2 ARB STATIONARY SOURCE ASSISTANT DIVISIOIN CHIEF

3 BARHAM: Oh, that would be very helpful, because we have
4 people coming through the door all the time saying, "This
5 study is the light of science," and we should be using it.
6 And Melanie tells us, "Well, maybe that's not quite ready
7 yet for" -- but to the degree that we can learn that, it
8 would certain help our evaluations.

9 CHAIRPERSON FROINES: Yeah. So we get really
10 excited about what we do, you know, everyday. And then we
11 want you to use it, like yesterday you should have had
12 this done. And it just not -- it doesn't work that way.

13 ARB STATIONARY SOURCE ASSISTANT DIVISIOIN CHIEF

14 BARHAM: And then there's always the courts that come into
15 play.

16 CHAIRPERSON FROINES: Yeah. Well...

17 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

18 Okay. And then in the next just two or three
19 slides I have the control measures that we've adopted
20 since the program began.

21 --o0o--

22 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

23 And then these are the ones that we're working on
24 right now, we're developing right now. And the composite
25 wood products is for formaldehyde control. And the other

1 three are diesel particulate measures.

2 And that's all I have for you.

3 CHAIRPERSON FROINES: Is there somebody at -- is
4 there -- not to open Pandora's box. But is there anybody
5 at ARB or OEHHA who's looking at the potential toxicity of
6 biodiesel fuel? Because everybody's racing towards it
7 and -- you know.

8 ARB STATIONARY SOURCE ASSISTANT DIVISIOIN CHIEF

9 BARHAM: You know, I was -- go ahead, Melanie.

10 CHAIRPERSON FROINES: Rancid -- if you take fat
11 and you leave it out it becomes rancid. It produces all
12 sorts of carbonyls, which we've been talking about. And
13 biodiesel is a process of burning fat to produce
14 carbonyls. And so there's obviously 200 years of science
15 on the rancidification of fats, and everybody treats
16 biodiesel as though it has no toxic properties and it's
17 natural.

18 PANEL MEMBER BLANC: John, that's like suggesting
19 that eating donuts is toxic.

20 CHAIRPERSON FROINES: Eating donuts is clearly
21 not toxic. It's good for you.

22 (Laughter.)

23 CHAIRPERSON FROINES: But is there any
24 biodiesel -- is somebody looking at biodiesel at ARB?

25 ARB STATIONARY SOURCE ASSISTANT DIVISIOIN CHIEF

1 BARHAM: Well, not that I'm -- are you aware of something?

2 I'm not aware of --

3 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION

4 MANAGER MARTY: There's a --

5 PANEL MEMBER GLANTZ: Melanie's always the

6 spoilsport.

7 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY SECTION

8 MANAGER MARTY: There's a couple of folks from ARB -- from

9 different parts of ARB than Janette and Bob who asked us

10 what do we know about the toxicity of the combustion

11 products of biodiesel. And we've been trying to see

12 what's in the literature. And there are very, very few

13 studies.

14 At the same time, some of the folks -- the ARB

15 has contracted with some folks to do chemical speciation

16 and compare certain chemical characteristics of the

17 biodiesel emissions with regular diesel and the newer,

18 lower sulfur diesel. So they're at least aware of -- the

19 fuels program is aware of it. One of the reasons they're

20 moving towards it is less the toxicity aspects and more

21 the greenhouse gas carbon cycling aspects.

22 But they don't want to -- they want to make sure

23 they're not making a huge mistake by moving towards

24 biodiesel as part of the fuel --

25 CHAIRPERSON FROINES: Why don't we finish up,

1 because I don't want to keep Tobi from waiting.

2 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

3 That is it for us.

4 CHAIRPERSON FROINES: That's great. Thank you
5 very much.

6 That was exactly what we hoped would happen. We
7 raised new issues and stuff that we can pursue.

8 Thanks, Bob; thanks, Janette, Melanie.

9 (Thereupon an overhead presentation was
10 Presented as follows.)

11 DPR ASSISTANT DIRECTOR JONES: While Randy is
12 pulling up this brief presentation on DPR's air quality
13 initiative, I just want to say that we anticipate at least
14 one, if not -- bring one, if not two, pesticides before
15 the Panel in 2007, probably endosulfan and chloropicrin.

16 So since you're kind of looking at your calendar
17 for this next year, let me just throw a pesticide
18 component.

19 PANEL MEMBER BLANC: Well, I'd be happy to take
20 the lead on chloropicrin.

21 DPR ASSISTANT DIRECTOR JONES: I'm sorry. Say
22 that again.

23 PANEL MEMBER BLANC: I'd be happy to be one of
24 the leads on chloropicrin.

25 DPR ASSISTANT DIRECTOR JONES: I'll leave that to

1 the Chair to make the assignments.

2 Thank you, Dr. Blanc.

3 CHAIRPERSON FROINES: I think that if I can --
4 we've been heavily, heavily using Roger Atkinson on
5 exposure. And one of our exposure experts that just
6 returned from seven months of doing -- you know, having no
7 work to do, may be assigned to one of these.

8 PANEL MEMBER GLANTZ: She was frolicking --

9 CHAIRPERSON FROINES: She was frolicking in
10 Europe, yeah.

11 DPR ASSISTANT DIRECTOR JONES: Well, I think as
12 we get a little closer, I'll advise you. But it's most
13 likely that will be -- our endosulfan risk assessment will
14 be ready prior to the completion of chloropicrin.

15 CHAIRPERSON FROINES: Before chloropicrin?

16 DPR ASSISTANT DIRECTOR JONES: Before pic, right.

17 CHAIRPERSON FROINES: I think there will be a
18 high degree of interest in chloropicrin.

19 DPR ASSISTANT DIRECTOR JONES: Oh, I'm sure there
20 will be.

21 I had hoped that it would be the other way
22 around. But Methidathion and lots of data on chloropicrin
23 have moved it back.

24 Okay. Let me proceed with this brief item. I
25 think, Dr. Froines, you had asked for this as an

1 informational item.

2 --o0o--

3 DPR ASSISTANT DIRECTOR JONES: And we -- DPR
4 launched a pesticide air initiative in the spring of 2006.
5 And it's intended to be a comprehensive initiative to
6 improve air quality statewide as it relates to pesticides.

7 While the primary focus of the initiative is to
8 reduce VOCs from pesticides, it will also have the benefit
9 of reducing air toxin emissions.

10 We're taking regulatory steps to meet some
11 existing commitments we have by 2008 and develop an
12 approach for future reductions. And so in launching that
13 initiative we held a series of workshops in August of last
14 year to present the concepts we're looking at.

15 --o0o--

16 DPR ASSISTANT DIRECTOR JONES: And this is just a
17 little bit of background. Some of you are well versed in
18 this, but I thought I'd go ahead and play through this.

19 VOCs and nitrogen oxides react to form ozone.
20 Pesticide active ingredients and inert ingredients, many
21 are VOCs. And the Air Resources Board and air pollution
22 control districts under the Federal Clean Air Act
23 developed state implementation plans to reduce VOCs and
24 NOx.

25 The 1994 State Implementation Plan required DPR

1 to reduce VOC emissions from pesticides by 20 percent
2 between 1990 and 2005 in five specific nonattainment areas
3 in the state.

4 --o0o--

5 DPR ASSISTANT DIRECTOR JONES: And This is just
6 to give you an idea of where pesticides fit in in the VOC
7 contributors for the San Joaquin Valley. So there's no
8 single source that is very high. There are a variety of
9 sources that are relatively low.

10 And for the 2001 emissions in San Joaquin Valley,
11 pesticides come in at about 5 percent.

12 And that's the formulated products.

13 --o0o--

14 DPR ASSISTANT DIRECTOR JONES: In order to carry
15 out our activities under the SIP, we maintain an inventory
16 of VOC emissions from agricultural and commercial
17 structural application of pesticide products. And let me
18 just say that we don't include consumer pesticide products
19 because those are covered under ARB's Consumer Product VOC
20 Reduction Program.

21 VOC emissions from pesticides are calculated
22 based on the VOC fraction in a product times the amount of
23 the product used. And then ARB uses that information
24 modeling to estimate their ozone concentrations.

25 CHAIRPERSON FROINES: So that would include

1 inactive components as well as active components?

2 DPR ASSISTANT DIRECTOR JONES: That's correct.
3 Because our use report captures both amount of product
4 used and amount -- and then calculates amount of active
5 ingredient used. And so the amount of product is what
6 we're considering.

7 And then our data goes in --

8 PANEL MEMBER BLANC: I think John is asking a
9 question which is -- you know, there's generally speaking
10 a discrete list of volatile organic hydrocarbons, which I
11 guess in pesticide formulation parlance are generally
12 considered inert ingredients, right? They're emulsifiers
13 or whatever they are.

14 But would an organophosphate or a chlorinated
15 hydrocarbon active ingredient pesticide, which could
16 contribute to the burden of volatile organic hydrocarbons,
17 even though it doesn't -- wouldn't be very likely I think
18 to appear on a sort of standard list of what are volatile
19 organic hydrocarbons. Would that get calculated in?

20 DPR ASSISTANT DIRECTOR JONES: We -- and I'll see
21 how far I get before I get too far away and grab Randy for
22 the explanation.

23 When we were tasked to participate in the State
24 Implementation Plan back in the early nineties we had to
25 come up with a methodology for measuring the VOC potential

1 update that inventory annually based on our most recent
2 pesticide use report and VOC fraction data.

3 The inventory focuses on the May to October peak
4 ozone production period for each year in the five
5 nonattainment areas of the state.

6 --o0o--

7 DPR ASSISTANT DIRECTOR JONES: The
8 characteristics at this time of emissions is that the VOC
9 emission patterns parallel pesticide use. More than 90
10 percent of the emissions come from agricultural sources
11 except for the South Coast. Not surprisingly, the
12 fumigants are the highest contributors in all areas.

13 And then, secondly, the emulsifiable concentrate
14 pesticide formulations are the high contributors.

15 And, Dr. Blanc, that I think kind of gets to the
16 heart of your question where the EC concentrations -- or
17 EC pesticide formulations, you know, will have an oil
18 solvent-based material; and compared to, let's say, a
19 formulation that is a wettable powder formulation.

20 So that is -- fumigants in the EC formulations
21 are two areas of concentration.

22 --o0o--

23 DPR ASSISTANT DIRECTOR JONES: The 1994 SIP off
24 of which we're operating mainly affects the San Joaquin
25 Valley. And we had a commitment to 12 percent reduction

1 by 1999. And at that time we met that goal. But then the
2 pesticide use has gone up.

3 And then our commitment dates for Ventura and the
4 southeast desert are coming up.

5 So those are three of the five nonattainment
6 areas in the state.

7 --o0o--

8 DPR ASSISTANT DIRECTOR JONES: We have a
9 commitment to meet our -- and reduce VOC emissions to meet
10 those commitments by 2008. The corollary to that is that
11 there will be a reduction in human health risks from
12 pesticide exposures. And then as part of the state
13 implementation currently under development by ARB and the
14 districts, we will develop a new commitment for that new
15 SIP.

16 --o0o--

17 DPR ASSISTANT DIRECTOR JONES: So our initiative,
18 to bring you kind of back full circle, our initiative has
19 four components. And I'd say these are kind of a sliding
20 scale from regulatory down to collaborative efforts.

21 The first being emission -- fumigant emission
22 reductions.

23 The second being managing emissions from the
24 liquid EC products themselves.

25 Third being innovative technologies in how

1 pesticides are applied.

2 And the fourth being pest management.

3 --o0o--

4 DPR ASSISTANT DIRECTOR JONES: For fumigant
5 emission reductions, we want to look at reducing how much
6 goes in, largely to the soil, and how much comes out. We
7 will be proposing regulations within the next few months
8 for all of the fumigants to capture reductions on the
9 order of approximately four tons per day.

10 Randy, to my left here, is leader in developing
11 this package. It has not gone public, and so we can only
12 tell you at this point the staff are looking at a wide
13 array of opportunities. But it will likely limit the
14 methods of applications of fumigants. And these are
15 largely for soil application. But, again, the corollary
16 that will address the air toxin issues.

17 We're acutely aware that research is needed for
18 additional emission reductions. And then we will
19 incorporate restrictions from risk assessments in the
20 future. And I think by telling you all that we're
21 bringing -- we'll be bringing chloropicrin forward within
22 the next year or so, that's an illustration of when we
23 complete that process with you all listed as a TAC and
24 incorporate mitigation into that, that will bring to bear
25 on fumigant emission reductions.

1 time to do all this, because the economy of agriculture is
2 so problematic at this point, that I think you're really
3 taking on an enormously important but difficult task at
4 this stage. It must be interesting to see the dynamic
5 between the two agencies.

6 DPR ASSISTANT DIRECTOR JONES: Well, I think
7 Randy could comment on -- Randy's really been on the front
8 line on this since last spring. I mean well before that.
9 But I think trying to bring together kind of some, I would
10 say, somewhat disparate activities into this initiative
11 have been a real challenge. And it is a very interesting
12 time.

13 CHAIRPERSON FROINES: Well, the economy is
14 very -- really problematic. And of course globalization
15 has something to do with it as well.

16 So it's going to be interesting to follow this
17 process. So I'm aware at least from my own reading and
18 things that, you know, we're dealing with chloropicrin,
19 but there's this much larger set of issues outside of any
20 specific chemical or what have you that's driving all
21 this.

22 DPR ASSISTANT DIRECTOR JONES: Well, I think the
23 first thing you'll see will be this spring when we come
24 out with the fumigant emission reduction regulations that
25 apply to methyl bromide, chloropicrin, MITC, and

1 1,3-dichloropropane. Those are the four major -- those
2 are the four fumigants used in production agriculture in
3 the field.

4 PANEL MEMBER BLANC: So dichloropropane is still
5 used?

6 DPR ASSISTANT DIRECTOR JONES: Oh, yes, yes. And
7 as --

8 CHAIRPERSON FROINES: Six million pounds.

9 DPR ASSISTANT DIRECTOR JONES: And as methyl
10 bromide has been phased out under the Montreal protocol,
11 the uses of 1,3-D have come in behind that.

12 PANEL MEMBER BLANC: Is it still being
13 manufactured in state as well?

14 DPR ASSISTANT DIRECTOR JONES: Yes, it is.

15 PANEL MEMBER BLANC: So is that something that --
16 for our other people, is that something that -- from the
17 point source manufacturing has ever -- is that a toxic air
18 contaminant already?

19 DPR ASSISTANT DIRECTOR JONES: It's a HAP.

20 CHAIRPERSON FROINES: And I think it's
21 manufactured in southern California, isn't it?

22 DPR ASSISTANT DIRECTOR JONES: I think it's
23 manufactured in northern California.

24 Oh, really?

25 PANEL MEMBER BLANC: It was Occidental, wasn't

1 it --

2 DPR ASSISTANT DIRECTOR JONES: No, it's Dow
3 Agri-sciences.

4 CHAIRPERSON FROINES: Where are they located?

5 DPR ASSISTANT DIRECTOR JONES: Over in the East
6 Bay.

7 CHAIRPERSON FROINES: Really?

8 PANEL MEMBER BYUS: Perhaps this isn't totally
9 relevant, but I'm just echoing what you're saying, John.
10 I mean I think the data showing the importance of the
11 population eating large amounts of fruits and vegetables
12 and nuts continues in the cancer literature and obesity
13 literature and cardiovascular literature to show enormous
14 positive effects on the population. And the only way
15 you're going to get them to do this is if you provide
16 it -- agriculture provides it cheaply and in convenient
17 forms like the little spinach in bags, for example, which
18 the consumption of spinach just by putting it in bags and
19 making it easily marketable has gone up ten, twenty-fold,
20 till E. coli was found in it.

21 (Laughter.)

22 PANEL MEMBER BYUS: But this is extreme -- I
23 mean, you know, and all the attempts to extract the single
24 lycopene components and the phyto-chemicals and whatever
25 is in plants and have people take individual pills so then

1 they can go and eat their fast food have all proven
2 relatively unsuccessful. I mean now there's still a lot
3 of work to be done, but the data continues to show that
4 eating large amounts of fruits and vegetables is extremely
5 valuable and enormously important to the health of the
6 populous. And so providing it cheaply and conveniently is
7 really important. So pesticides are a big part of that.
8 I mean you can't do it -- I mean nothing against the
9 organic people, but I have my skepticism. And I'm saying
10 it's extremely important that this work be carried out and
11 that we want people eating this stuff. And it's the only
12 way -- and it's a very important way of doing it, at least
13 from my point of view. So I encourage you to, you know --

14 DPR ASSISTANT DIRECTOR JONES: I think for, you
15 know, that example, Paul, that carrots is such an
16 interesting example. California carrot industry has been
17 very resourceful in developing products that consumers
18 want. And so the little bag of baby carrots that are very
19 easy to put in lunches for kids, you know, is really
20 marvelous. Well, the carrot industry is one of the -- in
21 the southern San Joaquin Valley is one of the large users
22 of metam sodium for the pests that affect carrots in the
23 ground.

24 And so trying to achieve that balance where, you
25 know, based on the work that this Panel did and reviewing

1 our report on metam sodium and the active entity of MITC
2 was very important. And we'll be coming out just within a
3 few weeks on the control measures for managing metam
4 sodium application and MITC release. And of course the
5 extent to which farmers are able to work with the
6 applicators who make this -- and make this work and be
7 able to continue carrot production that is --

8 PANEL MEMBER BYUS: It's very important. I mean
9 we can't lose sight of that.

10 And then the simple-minded idea of exporting all
11 of this food industry to other countries where there are
12 less stringent regulations in terms of population-based
13 problems with over-pesticide use maybe won't affect us
14 directly, but the number of people that it affects is
15 quite large. So I mean your efforts here are extremely
16 important, I think all our help in the --

17 CHAIRPERSON FROINES: I would remind you,
18 however, that one doesn't have to use pesticides on
19 donuts.

20 (Laughter.)

21 CHAIRPERSON FROINES: And so donuts are clearly
22 better for you.

23 (Laughter.)

24 PANEL MEMBER BYUS: Yeah, right, John.

25 CHAIRPERSON FROINES: And so I rest my case.

1 PANEL MEMBER GLANTZ: I've been trying to
2 convince my wife that donuts were a vegetable.

3 (Laughter.)

4 CHAIRPERSON FROINES: We finally have gotten to
5 prove that donuts are better for you.

6 (Laughter.)

7 CHAIRPERSON FROINES: Can I have a question just
8 off topic -- on topic actually --

9 PANEL MEMBER GLANTZ: That was a joke, for the
10 record.

11 (Laughter.)

12 PANEL MEMBER GLANTZ: For those were jokes.

13 CHAIRPERSON FROINES: I don't think we're going
14 to get sued on that part of the conversation. I think
15 we're safe.

16 But in terms -- Lyn, in terms of ARB, is anybody
17 looking at emissions from the Dow plant for ARB's
18 perspective?

19 PANEL MEMBER GLANTZ: And also emissions from
20 deep fryers. They're used to make donuts.

21 (Laughter.)

22 DPR ASSISTANT DIRECTOR JONES: You know, John,
23 let me -- before Lyn launches in, I realize I may -- Randy
24 may be correct. I think the production facility I am
25 thinking of is sulfuryl fluoride.

1 And so I honestly do not know where 1,3-D is
2 produced. So my apologies. You know, Dow has two very
3 important fumigants. And you all just finished sulfuryl
4 fluoride. But the production facility for that is up in
5 northern California. I don't know, Lyn may have a handle
6 on where 1,3-D is produced.

7 ARB AIR POLLUTION SPECIALIST BAKER: I thought it
8 was actually out of state, but I don't know. But we have
9 not been asked by DPR to ever do pesticide monitoring
10 around a manufacturing facility.

11 CHAIRPERSON FROINES: But wouldn't that be an ARB
12 authority anyway? DPR wouldn't ask you that, would they?

13 ARB AIR POLLUTION SPECIALIST BAKER: No, I guess
14 they wouldn't, no. No, that would be --

15 CHAIRPERSON FROINES: You know, there's this big
16 pesticide plant -- I mean this big chemical plant in
17 southern California which, as far as I can tell, nobody
18 ever does any monitoring and they produce loads of
19 chemicals and they're all quite -- you know, they're not
20 particularly good for you.

21 ARB AIR POLLUTION SPECIALIST BAKER: They do, Dr.
22 Froines. They do have to report under the Air Toxics Hot
23 Spots Program, the 2588 program, their emissions to the
24 local air district and then to a risk prioritization to
25 see if they need to do -- to reduce their emissions to

1 reduce their hot spot risk.

2 CHAIRPERSON FROINES: Well, I understand that,
3 and I've followed 2588 since it was passed. And my level
4 of confidence in some of the data that -- and the
5 timeliness of the data is -- I must admit being a skeptic.
6 And so having somebody doing some spot checking is not
7 inappropriate, I think.

8 ARB AIR POLLUTION SPECIALIST BAKER: We've never
9 considered that, but we certainly could look at pesticides
10 around a pesticide manufacturing facility.

11 CHAIRPERSON FROINES: There is some logic to the
12 idea.

13 ARB AIR POLLUTION SPECIALIST BAKER: I'm sorry?

14 CHAIRPERSON FROINES: There's some logic to the
15 idea of -- if you have a pesticide manufacturing taking
16 some samples on the levels that come out of the plant
17 isn't exactly --

18 ARB AIR POLLUTION SPECIALIST BAKER: We would --
19 I would assume that if their production facility was at
20 all efficient that they wouldn't be releasing too much of
21 what they were trying to make into the air. There may be
22 some. But they wouldn't have a very efficient --

23 CHAIRPERSON FROINES: No, I agree. I mean we
24 take industrial hygiene students to this particular
25 chemical manufacturing. And everything -- you know,

1 everything's pipes and tubes and there's no real emissions
2 unless they're fugitive. So I agree with you. But to the
3 degree that you think --

4 PANEL MEMBER BLANC: Well, as a minimum fact I
5 think would be useful to the ARB from a quality control
6 point of view of your methodologies for ambient air
7 assessment, don't you think, since you should be getting
8 at a minimum whatever your background levels are?

9 ARB AIR POLLUTION SPECIALIST BAKER: I'm only
10 aware of one facility in California that makes any of the
11 pesticides that we have done monitoring for for DPR, and
12 that's a metam sodium manufacturing facility.

13 PANEL MEMBER BLANC: And did you do such ambient
14 air assessment as a quality control measure for your
15 laboratory?

16 ARB AIR POLLUTION SPECIALIST BAKER: Not for
17 that. But we actually did a source test I believe at the
18 request of the air district.

19 CHAIRPERSON FROINES: Well, you know -- not to
20 prolong this, but, you know, we all are aware of the fact
21 that chemical manufacture's basically an enclosed process
22 and it should not have significant emissions. But we're
23 also aware of the fact that there have been huge emissions
24 at chemical plants in Texas and Louisiana. So there is a
25 history to problems. And so one can't just automatically

1 assume that everything is perfect because the engineering
2 of these facilities are theoretically reasonable.

3 ARB AIR POLLUTION SPECIALIST BAKER: Agreed.

4 PANEL MEMBER BLANC: Okay.

5 CHAIRPERSON FROINES: Thank you, Tobi.

6 Thanks, Randy.

7 PANEL MEMBER BLANC: So that last item -- the
8 last item on the agenda had to do with just future
9 scheduling. But usually that isn't something we're able
10 to do at these meetings.

11 CHAIRPERSON FROINES: We needn't do that. We
12 were going to, one, say how wonderful it was that you
13 published your book. That was one thing we were going to
14 do.

15 We were going to just ask Kathy if there was
16 anything based on her floating around Europe that she
17 thought would be particularly relevant.

18 PANEL MEMBER HAMMOND: Well, I thought I would
19 bring back to the Panel some information that relates
20 directly to some of the work that we did on the ETS
21 document.

22 I was in Geneva working with the World Health
23 Organization at the Tobacco Free Initiative from March to
24 July. And my very first day there -- oh, some of you may
25 know, but just let me back up and say a very important --

1 one of the most important public health treaties in
2 history went into effect about a year ago, and that's the
3 framework convention on tobacco control. And I don't know
4 what the current number is, but about 164 signees that --
5 nations that have signed on to this, not including the
6 U.S. And they had -- just before I arrived the Conference
7 of the Parties, that is representatives from all the
8 countries that signed, had had their first meeting in
9 February, just before I came. So on my very first day --

10 PANEL MEMBER GLANTZ: Just so people understand,
11 the Conference of the Parties is where they get together
12 and write the rules for implementing the treaty.

13 PANEL MEMBER HAMMOND: And, you know, it's very
14 important. This is like, you know, for tobacco control
15 around the world. And so my very first day at WHO they
16 told me that the biggest issue that came up at the
17 Conference of the Party, the thing that people felt they
18 needed more than anything else was information on the
19 health effects of environmental tobacco smoke. And I
20 said, "Well, do I have a" -- you know, "do I know
21 something about that for you," you know. And they knew
22 that I knew about it.

23 So I said -- you know, that kind of led to some
24 ideas and the eventually working with people both from ARB
25 and Stan and other people. We were able -- I was able to

1 kind of talk to them about the idea of possibly
2 republishing the Cal EPA report. You recall the first
3 report for the nineties was republished by the National
4 Cancer Institute, who's not intending to republish this
5 one. And yet I think there's a lot of important
6 information.

7 So the thought was we would -- WHO would
8 republish this, and the entire document would be published
9 in many copies to be distributed throughout the world.
10 And the executive summary would be translated into the six
11 U.N. languages. So everyone got very excited about this.

12 But I was very upfront from the beginning about
13 what some of the controversial issues, particularly the
14 breast cancer issue, you know, and how that was, you know,
15 controversial. And I wanted make sure they knew what they
16 were getting into.

17 And so they -- you know, there was some caution.
18 And so they asked me what kind of peer review the document
19 had undergone for that. And I wrote a memo to the head of
20 TFI about that peer review process, which basically was
21 the internal peer review at ARB and then the Scientific
22 Review Panel and what we had done. Fortunately people
23 shipped me a whole bunch of documents, so I was able to
24 give everybody who was interested in it copies of the
25 actual documents and the transcripts from the SRP

1 meetings. And you talk about all the different drafts,
2 that it was an open process. And I also gave them all the
3 comments, the Section C.

4 And the idea had been originally they were going
5 to commission three or four people to do an independent
6 peer review to make sure they wanted to put the WHO -- on
7 it. After they looked at what was already done, they were
8 so impressed they said, "There's no more peer review
9 needed." You know, they were quite impressed. They also,
10 you know, looked over some of the material in the --
11 particularly in the breast cancer section and decided to
12 go ahead. So --

13 PANEL MEMBER GLANTZ: Can I just interject one
14 thing?

15 You know, Yumiko Mochizuki, who is the head of
16 this unit at the WHO, is -- before she got into tobacco
17 was a breast oncologist.

18 PANEL MEMBER HAMMOND: And she also knew the
19 epidemiologist who'd done the work in Japan that was
20 important in the study.

21 So they actually asked me to present to the World
22 Health Assembly, which is the meeting of the WHO from all
23 the countries around the world that meets every year
24 annually. I was asked to present to them the findings of
25 the Cal EPA report. So those were presented there as

1 well. I mean those are the findings.

2 So this really got a lot of attention, and people
3 were really quite excited about it.

4 And then at the World Conference on Tobacco
5 Health in July there was actually a press -- they held a
6 press conference where they announced that WHO was going
7 to publish these -- to do this republication.

8 Now, it's been -- we had hoped it would be out by
9 now. It's not out by now. There are some forces
10 obviously working to try to maybe make that not happen,
11 particularly given -- I don't know even if this committee
12 knows about the Surgeon General's report has come out. So
13 the Surgeon General's report on passive smoking has come
14 out. Even though most of that report was written in 2000,
15 2001 -- so it's really more out of date -- it looks like
16 it's more recent because it came out a year later than the
17 Cal EPA report. So that was released on June 23rd, I
18 think, of 2006.

19 So there has been an effort by the U.S.
20 Government to get -- and CDC to have WHO not publish the
21 Cal EPA report. But they say they're going ahead doing
22 it. But it hasn't happened yet.

23 CHAIRPERSON FROINES: What did the Surgeon
24 General's report say about breast cancer?

25 PANEL MEMBER HAMMOND: Well, they -- remember

1 that all they could say that there's sufficient evidence,
2 there's suggestive evidence, there's insufficient evidence
3 to make anything or sufficient evidence that there's no
4 association.

5 We determined in California that there was
6 sufficient evidence, that the Surgeon General's report
7 said there was suggestive evidence. So it was one step
8 down.

9 Also, there was -- the press conference was
10 covered and was -- the information was picked up around
11 the -- around the country some more information came out,
12 especially about the breast cancer aspect.

13 PANEL MEMBER GLANTZ: The Surgeon General's
14 report, Kathy and I were both involved in it in the first
15 draft of the report --

16 PANEL MEMBER HAMMOND: We're not supposed to talk
17 about it.

18 PANEL MEMBER GLANTZ: No -- well, now that it's
19 out, this is all foible.

20 The first draft of the Surgeon General's report
21 had an affirmative negative statement. It said there is
22 evidence that there is no effect of passive smoking on
23 breast cancer. And the final report, after much yelling
24 and screaming, said that they actually did separate pre-
25 and post-menopausal women and they did their own

1 meta-analysis, which to within rounding error came out the
2 same as the OEHHA report.

3 The reason that they only said suggestive rather
4 than causal was because they said that there's -- that
5 there's no evidence that active smoking increases the risk
6 of breast cancer based on studies done up to about 2001.
7 And as you recall, in the Cal EPA report there's an
8 appendix on active smoking that updates that. And the CDC
9 is in the process of revisiting the active smoking issue
10 now, and my guess is, will change their mind in the next
11 year just based on talking to Terry Pechacek.

12 But it was -- there were quite a few important
13 people who got involved in the Surgeon General's due
14 process to force a reconsideration of the first draft.
15 And as Kathy said, while the report came out in 2006, it
16 was actually written about 2002, I think.

17 PANEL MEMBER HAMMOND: But I guess the main point
18 I was going to bring is that there is a lot of interest
19 world-wide in the Cal EPA report. And it has now been
20 reported more widely.

21 I want to to thank those of you who were so
22 responsive in helping me from a long distance getting me
23 materials to help do that. But people were -- and when
24 they looked at what it was and they looked at the
25 documents and the processes, they were quite impressed.

1 And it was really quite --

2 PANEL MEMBER GLANTZ: Yeah. And if you go to the
3 WHO website, it's up there now. It says they are going to
4 be publishing it. And it has a link to the current ARB
5 site. But they're going to be putting it out as a WHO --

6 PANEL MEMBER BYUS: Why did NCI decide not to
7 publish? Or shouldn't I ask?

8 PANEL MEMBER HAMMOND: They weren't even asked
9 actually.

10 PANEL MEMBER GLANTZ: Well, they were never
11 really -- it was never really pushed with them. But
12 there's a lot of strum and drum back and forth between the
13 NCI and the CDC generally. And there was -- when I kind
14 of broached the idea with some people I know at the NCI,
15 they were afraid that if they did that, the CDC would get
16 pissed off. And since the WHO was interested in it, it
17 just didn't seem worth pushing.

18 PANEL MEMBER HAMMOND: And the idea with the WHO
19 publication, I mean if it happens, what I'm happy about is
20 that they will actually distribute it to all the WHO
21 regional offices, they'll be going out to the countries,
22 and there'll be these translations. So it will truly be,
23 you know, available. And as I say, I think that the --
24 you know, a lot of this material is available. The
25 executive summary with the summary of facts is out there.

1 So I think people here really think -- you know, you've
2 done a lot of work that is already having an effect around
3 the world and being useful to people. And they are using
4 it and incorporating it into the tobacco control
5 materials, the smoke free environment initiatives that
6 they're developing now, that again are being used around
7 the world. So this is all supporting that.

8 PANEL MEMBER GLANTZ: Yeah, they are -- another
9 thing I had worked -- because we're a WHO collaborating
10 here at UCSF. They are putting out a document -- a policy
11 document on what governments ought to do about secondhand
12 smoke, and relies very heavily on the Cal EPA ETS report.
13 It also uses the Surgeon General's report, which is
14 generally a pretty good document too. But, you know,
15 they're very -- it relies -- I mean they talk about breast
16 cancer. It's discussed in terms of this as a causal
17 relationship, et cetera, et cetera. And that should be --
18 well, nothing ever happens quickly at the WHO. But I
19 reviewed what they said was the last draft of that
20 document about two months ago.

21 CHAIRPERSON FROINES: Thank you.

22 I guess we can entertain a motion to adjourn.

23 PANEL MEMBER BLANC: So moved.

24 PANEL MEMBER BYUS: Second.

25 CHAIRPERSON FROINES: I guess this doesn't take

1 much discussion?

2 All in favor aye.

3 (Ayes.)

4 CHAIRPERSON FROINES: It's unanimous.

5 (Thereupon the California Air Resources
6 Board, Scientific Review Panel adjourned
7 at 3:00 p.m.)

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

1 CERTIFICATE OF REPORTER

2 I, JAMES F. PETERS, a Certified Shorthand
3 Reporter of the State of California, and Registered
4 Professional Reporter, do hereby certify:

5 That I am a disinterested person herein; that the
6 foregoing California Air Resources Board, Scientific
7 Review Panel meeting was reported in shorthand by me,
8 James F. Peters, a Certified Shorthand Reporter of the
9 State of California, and thereafter transcribed into
10 typewriting.

11 I further certify that I am not of counsel or
12 attorney for any of the parties to said meeting nor in any
13 way interested in the outcome of said meeting.

14 IN WITNESS WHEREOF, I have hereunto set my hand
15 this 18th day of January, 2007.

16

17

18

19

20

21

22

23

JAMES F. PETERS, CSR, RPR

24

Certified Shorthand Reporter

25

License No. 10063